

STATE OF FLORIDA
DEPARTMENT OF HEALTH
DIVISION OF ENVIRONMENTAL HEALTH
BUREAU OF RADIATION CONTROL
ADVISORY COUNCIL MEETING

HYATT REGENCY INTERNATIONAL AIRPORT
Orlando, Florida 32827

Tuesday, May 13, 2014

10:00 a.m. - 3:00 p.m.

MEMBERS PRESENT:

RANDY SCHENKMAN, MD, Chairman
MARK S. SEDDON, Vice-Chairman, MP, DABR, DABMP
ARMAND COGNETTA, MD
ALBERTO TINEO, CNMT
PATRICIA M. DYCUS, BS, RRA(R)(M), RDMS
TIMOTHY WILLIAMS, MD
WARREN JANOWITZ, MD, JD, FACC, FAHA
TIM RICHARDSON, RT(R)
JEROME GUIDRY, P.E., Q.E.P.
WILLIAM (Bill) W. ATHERTON, DC, DACBR, CCSP
KATHY DROTAR, M.Ed., RT. (R)(N)(T)
PAUL BURRESS, CHP

DEPARTMENT OF HEALTH BUREAU OF RADIATION CONTROL

STAFF:

JAMES FUTCH, Health Physicist Administrator
BRENDA ANDREWS, Business Consultant
CINDY BECKER, Bureau Chief
YVETTE FORREST, Environmental Administrator
JERRY BAI, Environmental Administrator

DEPARTMENT OF HEALTH MEDICAL QUALITY STAFF PRESENT:

PATRICK KENNEDY, Executive Director
GAIL CURRY, Regulatory Consultant

MEMBER OF THE PUBLIC

KEITH NADASKAY

DISCLAIMER: Edits have been made to the original transcript provided by AMERICAN COURT REPORTING to clarify and/or correct some inaudible statements, and names of speakers.

* * * * *

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

(Whereupon, the Chairman called the meeting to order, after which the following occurred:)

* * * * *

DR. SCHENKMAN: Okay. My name is Dr. Randy Schenkman. Most of you know me already. I'm from Miami, a retired radiologist, and I've been on this Board forever. But this is my first year as chairperson. So can we go around the room and everybody introduce themselves?

Would you like to start?

MR. BURRESS: Paul Burress from Florida State University and I'm representing health physicist.

MS. DROTAR: Kathy Drotar. I am the radiologic technologist therapy representative.

MR. SEDDON: Mark Seddon. I'm from Florida Hospital in Orlando and I represent medical physicists.

MS. FORREST: My name is Yvette Forrest and I represent the Bureau of Radiation Control, radiation machine program.

MR. ATHERTON: Bill Atherton in Miami and I represent the chiropractors.

MR. GUIDRY: Jerome Guidry. I'm the

environmental guy.

1
2 MS. ANDREWS: Brenda Andrews, radiation
control.

3 MR. FUTCH: I'm James Futch, also radiation
4 control Department of Health.

5 MS. BECKER: And I'm Cindy Becker, also
6 radiation control, Department of Health. And I
7 was going to get to introduce Dr. Armand
8 Cognaetta. I'd like to introduce you, who's our
9 newest member. It was effective yesterday. He
10 is representing our layperson status on the Board
11 and he is a Board certified dermatologist. He's
12 the founder of Dermatology Associates in
13 Tallahassee; for those of you in Tallahassee, you
14 would know that. He also is the program director
15 for the Florida State University School of
16 Dermatology that they have there. So, welcome.

17 DR. COGNETTA: Thank you.

18 MS. BECKER: Anything else you want to say?

19 DR. COGNETTA: No, thank you. Thanks,
20 everybody, for helping me get on board.

21 DR. SCHENKMAN: Welcome.

22 MR. FUTCH: We'll be hearing some more from
23 Dr. Cognaetta pretty soon.

24 MR. KENNEDY: Hi, I'm Patrick Kennedy. I'm
25

1 the new Executive Director of the Florida Board
2 of Pharmacy, EMT, paramedic, and rad tech with
3 the Department of Health, so I'm very happy to be
4 here.

5 MS. CURRY: I'm Gail Curry, Department of
6 Health Medical Quality Assurance. We do the
7 licensing.

8 MR. TINEO: Alberto Tineo from Halifax
9 Health.

10 MS. DYCUS: Patricia Dycus. I'm the
11 representative for radiologist assistants.

12 MR. WILLIAMS: I'm Tim Williams for
13 Oncologist, Boca Raton.

14 MR. JANOWITZ: Warren Janowitz, nuclear
15 medicine, Miami.

16 MR. RICHARDSON: Tim Richardson. I
17 represent the Florida Society of Radiologic
18 Technologists, radiographer.

19 MR. BAI: Jerry Bai with the Bureau of
20 Radiation Control, field operations.

21 MR. NADASKAY: I'm Keith Nadaskay. I wear
22 many hats. I'm just here to kind of watch. I'm a
23 proud Florida State grad. I work for Mosaic
24 Fertilizer. I have a consulting company that I
25 own and moonlight with and I'm also the Mayor of

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

the City of Wauchula, so I'm very busy but I'm just interested to see what the, what the discussion is today and really just here to observe.

DR. SCHENKMAN: Well, we welcome everybody and thank you all for being here to invest your time. I guess our first item on the agenda is the approval of the May 28th, 2013, meeting Minutes.

MS. ANDREWS: Those Minutes were disseminated to you all back after the last May meeting, and everyone had an opportunity to look them over. I made edits to them to comply with the information that I was sent, and this is the copy that is the final copy. I think I sent you all a final version of it. I'm not sure. If I did not, I will be happy to do that when I get back.

MR. FUTCH: So you made all the changes?

MS. ANDREWS: All the changes were made according to the information that I was given from the Council members.

DR. SCHENKMAN: So do we have a motion to approve?

COUNCIL MEMBER: So moved.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

DR. SCHENKMAN: Any discussion? No? All yeses? No's? Ayes. Okay.

Now the MQA update.

MS. CURRY: Like I said we're with licensing, so we do all the licensing of all radiologic technologists. And just trying to give you a rundown, since January 1st we have processed 769 radiologic technology applications doing those at 7- - I'm sorry, 5.07 days. So from the time we get it in our office to the time we either make it deficient or set it to test or if it's an endorsement license then it takes us approximately five days, and that is also within a graduation time, also where we get a lot more applications.

Radiologic assistants. We've actually done two of those since the first of the year and those took us a little longer. We did those in about ten days. So we've done 771 applications since January 1st with all of those being processed within 30 days. On an average. Those 771 applications were processed in 5.08 days. That's it.

Anybody have any questions?

DR. SCHENKMAN: How does that compare to

previous years?

1 MS. CURRY: Last year we were down to about
2 I think it was about 4.75 days, but we lost a
3 position because we were so efficient, that we
4 lost a position to nursing because their, their
5 applications are just way, way more than what we
6 receive. So we're doing that with actually three
7 processors for the whole state, and we also do
8 EMTs and paramedics. So, you know, our
9 processors are very, very busy, very highly
10 qualified, and they also answer questions from
11 anyone that calls. So, you know, I think we do a
12 phenomenal job with what we have, and our error
13 rate is zero.

14 MR. FUTCH: Gail, you don't happen to know
15 how many of the RA's there are now, do you?

16 MS. CURRY: I don't know. I didn't look
17 that up, but I think there's only like seven. We
18 had, we had some people apply but they weren't
19 qualified. So those are on the books as an
20 application, but they're not on the books as an
21 actual RA license.

22 MR. FUTCH: Were they, were they - do you
23 remember why they went with the RPA's?

24 MS. CURRY: They were not ARRT certified as
25

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

RA's. They just thought they could apply because they were GR's and thought they could assist. So they never actually came to fruition.

MR. KENNEDY: James, that's something we can get for the next meeting if you'd like, kind of a breakdown of -

MR. FUTCH: Yeah, that might be interesting to see the running totals. I usually look at it once or twice a year for various purposes and I think we're around 27,000 radiographers, 3,500 or so basic machine operators, a little under 2,000 radiation therapists, and the nuclear medicine techs seem to be experiencing a little minor increase. They're like 2,200 or so which is an increase over the past several years for them.

MR. JANOWITZ: Have there been any nuclear medicine practitioner applications, do we have any processed -

MR. FUTCH: You know, I haven't even been asked about it.

MR. JANOWITZ: There are several programs that are there. I'm not sure they're graduated yet.

MS. CURRY: Practitioners?

MR. FUTCH: Yeah, they're like the RA on the

nuclear medicine side.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

MS. CURRY: We have no experience setting up those yet.

MR. JANOWITZ: You probably will -

MS. CURRY: So that's something we need to - look into.

MR. KENNEDY: And even though we have headwind, so we, we, we downsized our staff, we do anticipate getting those numbers back down. That is a commitment across the Division of Medical Quality Assurance and all the health professions that we license. And, in fact, that's actually part of our evaluation goals is to get these numbers better and better, so you know, Gail and her staff really do an amazing job. Being new, I've really been impressed by how diligent the staff is at making sure that the applicants understand what is required of them and what it is that they may be missing and what they need to do to complete their application. But, you know, we can do better and we need to do as a division and a bureau a better job of supporting Gail and that staff, so hopefully we'll be back next time getting that one-third a day back and maybe more so.

1 MR. FUTCH: Aren't you working on a new
2 computer system upgrade?

3 MS. CURRY: Yes, that's going to go into
4 effect in October. We did offer our suggestions,
5 one of them being that we would like to see -
6 like the ARRT does a - the ARRT knows that they
7 have just a check-off of the schools. The
8 schools can go into their website and just say,
9 oh, yeah, this person graduated, this person
10 graduated, so there's no paper work involved.
11 That's what we would like to get to where
12 everything is data, you know, database driven
13 instead of, okay, you can apply online now but we
14 still need you to fax us your ARRT card or your
15 course completion, your HIV. So we're still
16 getting some paper; we'd like to try and do away
17 with that. We have done away with that with our
18 basics, but not our GR's, NNT's and RTT's.
19 They're still some paper that they have to send
20 us.

21 MR. FUTCH: So that would be something for
22 the program to do to communicate with you
23 electronically, maybe when they graduate?

24 MS. CURRY: Yeah, we'd like - we'd like to
25 see that happen.

1 MS. DROTAR: We already do that now. We
2 have to send a letter on the day they graduate -

3 MS. CURRY: Right.

4 MS. DROTAR: ... provide us with
5 information so a little checkmark would be really
6 nice.

7 MS. CURRY: Yes, I know you love that.

8 MS. DROTAR: I know.

9 MS. CURRY: We're working hard.

10 MR. FUTCH: You haven't asked for that
11 before, have you, Kathy?

12 MS. DROTAR: Yes.

13 MS. CURRY: But, yes, there is some new data
14 systems coming - it should be live and in action
15 in October.

16 MR. FUTCH: And you guys are also going to
17 have some protections against some of the issues
18 that we were talking about before, you know, the
19 licenses, extra protection against the license
20 being issued, you know, without the modifier and
21 things like that.

22 MS. CURRY: Yes.

23 MR. SEDDON: I have a question for Gail.
24 For medical physicist licensure, there was at one
25 time an advisory council for medical physics. The

1 question's been raised concerning direction for
2 supervision from some of the national
3 organizations and so they're asking is that
4 council still inactive?

5 MS. CURRY: You know, unfortunately, medical
6 physicists got taken away from us.

7 MR. SEDDON: Right.

8 MS. CURRY: So it's now gone over to the
9 chiropractic board. They have like seven
10 different professions that they keep there, so
11 unfortunately I can't really answer that question
12 for you.

13 MR. KENNEDY: But we can get you the answer
14 at the -

15 MS. CURRY: Yeah. So just give me a little
16 bit during the break and I'll find out if there's
17 still a council for that.

18 MR. SEDDON: Right. I know they're all
19 inactive that are currently on there, so they
20 want to try to reinstitute that council and to
21 try to clarify guidelines for supervision of
22 physicists.

23 MR. KENNEDY: I think that's what's
24 happening now with what the future might hold.

25 MR. SEDDON: It's a combination of both,

1 yeah, so we've had some debates the last couple
2 of years about what we're allowed to do within
3 Florida.

4 MR. FUTCH: I think if you go to the
5 Department of Health MQA licensure page for
6 medical physicists, the Council positions are
7 mostly vacant and they still for some reason list
8 Libby and also Agnacio is on there.

9 MR. SEDDON: Agnacio's just expired.

10 MR. FUTCH: Yeah. Okay.

11 MR. SEDDON: So just one person left and she
12 hasn't met since, I think, like 10 years.

13 MR. FUTCH: Yeah. I think - Gail, what's
14 the gentleman's name whose Patrick - is it Bill I
15 want to say Little or something like that?

16 MS. CURRY: He's gone.

17 MR. FUTCH: Oh, he's gone? Okay.

18 MS. CURRY: Yeah. Allison -

19 MR. FUTCH: Allison's for Board of Medicine,
20 right?

21 MS. CURRY: Adrian -

22 MR. SEDDON: Rogers.

23 MS. CURRY: Yeah. She started the same time
24 Patrick did. They've only been on board about
25 two weeks, so, you know, don't beat them up too

bad.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

MR. SEDDON: Oh, right.

MS. CURRY: But, yeah, she's brand new, too.

MR. FUTCH: When she gets around to looking at her website which would probably be done by now, she's going to probably say here's the council and it's taken 'cause there's nobody up there now.

MS. CURRY: Yeah. I'm going to step out and ask - see if I can get you an answer for that. Okay?

MR. SEDDON: Thank you.

MS. CURRY: Does anybody else have anything before I leave?

MS. DROTAR: Just background checks are becoming more of an issue. Is there - I know I talked to James briefly, where I could get more information to advise my students on that?

MS. CURRY: Actually, when they go online, Kathy, they go online and they check "Yes" to that criminal question, it tells them everything they need, every single thing they need. So if they send in part of it, they're going to get a letter that says we still need dispositions, we need court records, we need arrest reports, you

1 know. It's got a whole thing but if you go to
2 the website it also lists it there.

3 MS. DROTAR: Yeah, I noticed it more in line
4 to PRN.

5 MS. CURRY: Yeah. And those are done on a
6 case by case basis, you know. We reference the
7 statutes to be sure that they haven't done
8 something that would exclude them completely, and
9 if it's something that we're afraid, you know,
10 they might have a drinking problem or they may
11 have an alcohol - a drug problem, we're going to
12 send them to PRN to be sure they're safe. And a
13 lot of times PRN will put them on a two-year or a
14 five-year contract so that they can monitor them.
15 Now, they'll take them off that contract early if
16 they're compliant, so - but - and exemptions are
17 coming in, too, into play now.

18 If we license one of your students and they
19 go out to a hospital and get a job, AHCA is going
20 to come back and say, oh, we just ran a
21 background check and you need an exemption to
22 work. And so all they have to do is contact us
23 'cause they're probably going to contact you and
24 say I need an exemption now. If we just approved
25 them for licensure and nothing else comes up,

1 we're going to give them an exemption right away
2 so they can work pretty quickly. They're going
3 to have to send us the application from AHCA and
4 the letter from AHCA and just ask for an
5 exemption.

6 MS. DROTAR: Thank you.

7 MS. CURRY: Anything else? And you guys,
8 you know, call me if you have any problems or
9 questions or anything, just call and I'll be
10 happy to track down whatever I can for you,
11 whatever information you need, 'cause medical
12 physicists did used to be with us. Although they
13 aren't now, I can get you the contact person and
14 the information.

15 MR. FUTCH: Kathy, you want to talk anymore
16 about the incoming processing, how it's something
17 that the students always understand, but our
18 guiding principle is in our incoming licensure
19 statute that basically says that we may not
20 license somebody who committed an offense that
21 would have been a violation of the discipline
22 standards if it had been committed while they
23 were certified. So everything kind of keys off
24 that. So what we usually do is tell them to go
25 look at the discipline standards which are in the

1 regulation 64E-3 and, you know, they're crimes
2 against a person, crimes that relate to the
3 practice or the ability to practice which is
4 often where the - things like a series of DUIs or
5 other drug related crimes come in, and that's
6 when the - the Professional Recovery Network
7 issues as a group of physicians who can evaluate
8 someone and see if they are or are not addicted
9 and safe to practice. But that's the way it
10 generally works.

11 MS. DROTAR: And my concern was advising
12 them before they come into the program kind of
13 thing, and we give them all that information and
14 have them do a pre-approval by ARRT, which is a
15 help but it's still not licensing, so -

16 MR. FUTCH: That's the - yeah, I remember
17 going back many years sometimes people would like
18 to be able to get an answer from us ahead of
19 time, and it's not something that we're really
20 allowed to do at all 'til we get an application
21 to act upon. So we're kind of in the situation
22 of well, we can tell you these are the
23 guidelines, but until you actually apply we can't
24 give you a definitive yes or no. So, you know,
25 we're not sure a person wants to go and spend

1 \$20,000 and become a technologist then find out
2 they can't practice in the State of Florida.

3 But one of the things they can do, at least
4 if it's a radiographer, is apply to become a
5 basic. The basic, as you know, doesn't require
6 graduation from a formal program. So as long as
7 the criminal history doesn't change from the time
8 they applied as a basic, we can actually give
9 them a definitive answer by licensing them as a
10 basic - well, at least admitting them to the
11 examination. Whether they pass or not is up to
12 them.

13 MS. DROTAR: Yeah.

14 MR. FUTCH: But that's about the only
15 concrete way you have of getting a definitive
16 answer through the statutes the way they're set
17 up.

18 MS. DROTAR: And that's fine, you know, it
19 becomes their choice as a university you can't
20 deny education, so it's kind of up to them. As
21 long as we're advising correctly then I don't
22 have any problem there. And we don't want
23 people, some people to be licensed, so it's a
24 good thing for the checks and balances. Thank
25 you.

MR. FUTCH: Sure.

1
2 DR. SCHENKMAN: Okay. Anything else having
3 to do with the MQA update?

4 MR. FUTCH: You never got a chance to tell
5 us about where you came from.

6 MR. KENNEDY: Well, I come from the Agency
7 for Health Care Administration where I was the
8 administrator for data collection, quality
9 assurance, and patient safety. So among other
10 things my unit collected adverse incident reports
11 from all licensed health care facilities. But
12 most of my background, though, before that was
13 with professional associations, among them the
14 Florida Medical Association, American Heart
15 Association, a number of different medical
16 specialty societies. So I feel like I'm back
17 with the professions, feels like coming home.

18 MR. FUTCH: And learning pharmacy.

19 MR. KENNEDY: And learning pharmacy rapidly,
20 yes.

21 MR. FUTCH: (Inaudible) - at this point

22 MR. KENNEDY: Well, a little bit. I know
23 the health care system pretty well and so it's
24 more of a filling in gaps. I've lived next door
25 to the executive director of the Florida Pharmacy

1 Association for 17 years so, just little
2 discussions, you know.

3 MR. FUTCH: Have the pain clinic things died
4 down or is that still a major portion of the
5 pharmacy (inaudible).

6 MR. KENNEDY: It's actually dying down and I
7 know actually from my work with the governor's
8 Council on Substance Abuse that we're seeing
9 rapid rises in heroin use rates actually because
10 we're doing such a good job with the pill mills.
11 So that's a good thing, kind of, but now we're
12 seeing an increase in the number of methadone
13 clinics around the state. So it's a bit like
14 squeezing a balloon, but if you go by the number
15 of complaints we have from people who are not
16 able to get their pain medication prescriptions
17 filled then the supply is being managed much more
18 aggressively. So I kind of walked into that.

19 But, of course, in Florida the big thing
20 now - we quickly moved from there to compounding.
21 Most of you probably remember the number of
22 people who died last year from viral - bacterial
23 meningitis from badly compounded drugs they were
24 given, and we have spent - I say "we" meaning
25 not me, but the Board and MQA have spent the last

1 year getting - locking down on that in state and
2 happy to say that we just last week, the - the
3 Florida Legislature passed legislation allowing
4 us to clamp down on compounding pharmacies or
5 compounding outsourcing facilities that are not
6 exactly pharmacies. So anybody compounding and
7 sending those compounded products to the State of
8 Florida are now under our jurisdiction and we can
9 send our inspectors to look at their facilities.
10 So we're looking forward to extending our in-
11 state compounding - sterile compounding permit
12 throughout the United States and I am happy to
13 say it also includes both for human and
14 veterinary use. There was a major issue two
15 years ago with a number of Polo ponies from the
16 Venezuelan National Polo Team were killed from an
17 incorrectly - well, legal drugs that were
18 produced illegally and dispensed improperly,
19 which that's the trifecta, I think, you're taking
20 a legal drug and you make it illegally if it were
21 legal and then you dispense it improperly. So
22 we're excited that we hopefully will be able to
23 insure the safety of Floridians much more
24 effectively through compounding now.

25 So the pill mills were again two to three

1 years ago, this was compounding, but the great
2 thing about the State of Florida is I'm sure
3 there's something else on the horizon, but we'll

4 -

5 DR. SCHENKMAN: Medical marijuana.

6 MR. KENNEDY: Undoubtedly, yes, but -

7 DR. SCHENKMAN: Is that going to follow you
8 here, too?

9 MR. KENNEDY: Well, I'm happy to say that
10 the Legislature in their wisdom did not provide
11 for prescribing medical marijuana, so like in
12 other states that it stays out of the
13 pharmacist's chain so there will be - you'll -
14 you'll be able to order it in Colorado and
15 California they're called dispensaries. And
16 because our law 465 is based around prescribing
17 then you will not be able to go to a pharmacy.
18 Pharmacies will not be carrying the marijuana.
19 The marijuana will be obtained through a
20 dispensary. As to what a dispensary is or how
21 one accesses it -

22 MR. JANOWITZ: I know when this issue came
23 up a couple of years ago, there was talk about
24 these regular dispensing or compounding
25 pharmacies, there was a question as to whether

1 the nuclear medicine preparation
2 radiopharmaceuticals would fall under those. Do
3 you know if that's been accepted or -

4 MR. KENNEDY: The definition of compounding
5 in the bill is broad enough that we were joking
6 about it that a peanut butter sandwich would be
7 compounding. It's the combining of one substance
8 with another substance to create a third
9 substance, and it's that kind of broadness that
10 we need to stay ahead of the people who want to
11 be a little fast and loose with that. That
12 having been said, the preparation in compounding
13 of substances within a physician's practice is
14 licensed under 458 and 459 and is not the
15 practice of pharmacy. So - and we have been
16 observing a pretty bright line there. I know
17 that there's potentially some areas where that's
18 not entirely clear and they may have to discuss
19 that, but as of right now I know that it provides
20 for any type of sterile compounding. We haven't
21 really taken up non-sterile compounding and as of
22 right now if you're a compounding pharmacy or
23 compounding outsourcing facility, then you're
24 under our jurisdiction. And these facilities
25 know who they are; actually, we know who they

1 are. We've asked around the nation, you know,
2 who are you; if you want to send compounded
3 products to the State of Florida, let us know who
4 you are. And we did that in-state and we did
5 find that a certain number of these organizations
6 did not want to go through the permitting
7 process.

8 MR. JANOWITZ: What about the independent
9 radio pharmacies that supply all of the hospitals
10 and medical practices?

11 MR. KENNEDY: If they're in the State of
12 Florida, they're all permitted. If they're
13 outside the State of Florida, they're already
14 permitted. And again, the, the, the permitting
15 process does not really provide for any
16 additional regulation. It provides for you to
17 tell us that you're a compounding pharmacy and
18 that you display to us that you're already
19 meeting the federal regulations for compounding.
20 We also have our -rule in the State of Florida.
21 We have to pass an administrative rule to
22 finalize our in-state program.

23 The rule and the bill thankfully look the
24 same. There are some limited and somewhat
25 esoteric details there I'd be happy to share with

1 anyone that would like to know, but I - the
2 deeper we get in now in my, in my, in my fourth
3 week on the job, the less sure I become of my
4 footing and the more I want to ask my Board
5 attorney to advise me. So I -

6 MR. FUTCH: In six months you'll be asking
7 the Board attorney to answer all the questions,
8 then you'll really be - pretty soon no answers
9 will be forthcoming at all.

10 MR. KENNEDY: But if anybody has any
11 questions about compounding or any of those
12 issues or any ancillary issues to pharmacy at
13 all, I'm happy to answer your questions, and I've
14 got cards so please come and I'll give you one of
15 my cards.

16 MS. CURRY: Mark, I have some information
17 for you. Sharon Gilford, and I have her written
18 down here, is going to be your contact person and
19 she said if you give her a call she'll give you
20 all the details. I do know that there is still
21 an active council. She said that the members
22 that are inactive on the website are really still
23 active, so - but she did say they all have to
24 have a license. Right now there's three full
25 council members. She did also say that the

1 attorney met with Libby and she didn't give me
2 any details about what that conversation was or
3 anything, but she said if you give her a call
4 she'll be happy to talk to you.

5 MR. KENNEDY: Thank you.

6 DR. SCHENKMAN: Okay. So should we now move
7 on to bureau update?

8 MS. BECKER: That's me. Okay. Well, I was
9 hoping to be able to introduce you to the newest
10 member of our division, our division director,
11 and he's been here I guess several months now.
12 Time goes by. But he could not make it; he had
13 to go to his son's graduation which I think is
14 pretty important. We'll let him out of this one.
15 Maybe next time he'll be able to come. But his
16 name is Dr. William Anderson. He's been CEO of
17 several hospitals both in Florida and Alabama, so
18 I don't know if any of you have heard his name
19 but he's been in that capacity for a very long
20 time at different hospitals. And we'll hope to
21 see him next time.

22 The budget. The budget's kind of where
23 we're looking to see what will happen to us this
24 year. We never know. Last year about this time
25 we had to give up two positions. We did have a

1 few vacancies, so we gave up one of our license
2 evaluators in our RAD materials program. And the
3 other one was health and safety specialist who
4 did our maintenance down in our environmental
5 lab, and those two did hurt but like Gail had
6 said earlier, we just - I guess 'cause we're so
7 efficient. So we're hoping we don't take a hit
8 this year on positions, but we never know. We
9 currently have about four vacancies, I think, but
10 two are in the process of being filled. The
11 other two are still in the process of being
12 advertised.

13 The end of the year, all the budget stuff.
14 Our end of the year, you know, is June 30th so we
15 have a lot of staff working on tying up all the
16 loose ends for the end of the year budget. We
17 also have some rule issues which you'll hear
18 James talk about later, so we're always dealing
19 with those.

20 It's also the time of year for legislative
21 proposals. Again, we have to get ready to put
22 any of those together that we wish to try to get
23 through. Well, last year as in other years, we
24 always talk about increasing x-ray fees. They
25 have not been increased in over 30 years now, and

1 as you know, that has not stopped - inflation has
2 not stopped 30 years ago. So I don't know if
3 we'll work on that again this year. We'll
4 probably see how the climate is to see if that's
5 something we need to try to push for again. As
6 you know, that's very hard in the climate to get
7 something like that through if the fee's
8 increased.

9 We're also working - Crystal River, as you
10 know, they're shut down, the power plant there,
11 the nuclear power plant, and they're in the de-
12 commissioning phase. So we are working with NRC
13 on what that means for us as far as surveillance
14 and monitoring that we do around the nuclear
15 power plants.

16 X-ray registration process, kind of ended
17 for right now. The August through November time
18 frame, Yvette can tell you more about that, but
19 that will start up again about August and that
20 takes a lot of time for their staff to go through
21 that process. And it was actually faster this
22 year. They improved the process and going at it.

23 Radioactive materials program is still
24 doing licensing, of course. They're moving ahead
25 with one less staff but they're doing well.

1 They're actually having a fellow come from
2 Jamaica that wants to see how we run our program.
3 As you know, our radioactive material program
4 gets national recognition out there. We've
5 already had several different folks from the
6 Bahamas, France, Mexico - where else did the last
7 one - Canada. The Canadians came, too.

8 MR. KENNEDY: It's a country.

9 MS. BECKER: It's a country, yes. Our IMPEP
10 it's called - Integrated Materials Performance
11 Evaluation Program - looking at Jerry, he just
12 did one in New York. It's the NRC language for
13 the auditing that they bring and it's time for
14 our audit of our RAD materials, our emergency
15 response program. Not so much the x-ray but
16 they're over us with the - with everything else
17 we do. That will be in February and they will be
18 here two weeks. They'll spend a week in our
19 offices in Tallahassee and then they'll go out a
20 week with Jerry's staff in the field and do some
21 inspection accompaniments. So we're gearing up
22 for that.

23 The Health Physics Society meeting was just
24 a couple of weeks ago, April - April 15th, 16th,
25 somewhere in there - 12th, 13th. That was very

1 successful. We had a training with them which we
2 tried to do as much as we can to work with the
3 society and we were able to train our staff on
4 our newer detection equipment, so that was quite
5 successful. And the biggest thing we were
6 working on lately seems to be Office 365 in the
7 cloud stuff and, oh boy, you don't even want to
8 go there right now.

9 MR. FUTCH: Yeah, if you haven't gotten any
10 e-mails or can't get any e-mails from us, that's
11 because the whole department switched from
12 servers that we have under our control to the
13 Microsoft Subscription Solution, in the cloud, as
14 they speak.

15 MS. BECKER: So none of us get lost in the
16 clouds.

17 MR. FUTCH: Yes, so everything takes
18 slightly longer now and we have connection
19 problems, but, hey, it's progress, right?

20 MS. BECKER: That's progress. Did I miss
21 anything? Yvette, Jerry, James, did I miss
22 anything about the programs we're thinking?

23 MS. FORREST: No, I don't think so.

24 MS. BECKER: Does anybody have any specific
25 questions? Okay. All right. Thank you, guys.

1 DR. SCHENKMAN: Thank you. Okay. So now
2 we're walking through the spectrum.

3 DR. COGNETTA: So I would like to comment -
4 just a chance meeting with Cindy led to my
5 discovery there was a radiation safety council
6 and I'm interested in radiation as it relates to
7 patients and how it's done correctly. I can't
8 stress how impressed I've been from day one how
9 people in this organization get back to you
10 immediately. The efficiency and the openness and
11 the inclusiveness - I would be sending an e-mail
12 out at 4:30 in the morning to Brenda and I'd get
13 something back, you know, before 5:00 and stuff
14 like that. So I appreciate the fact that I've
15 been invited to speak here and if I can get this
16 to come up -

17 It was working just a moment ago.

18 So this is a talk that I gave at the FSU
19 Department of Physics and College of Medicine
20 probably a year or two ago, and I shortened it
21 and I just wanted to give you some background.
22 You know, what is a dermatologist doing at a
23 radiation safety council meeting? And I am the
24 founder of Dermatology Associates. We have ten
25 dermatologists and a couple of plastic surgeons

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

and pathologists in our office. But, typically, what I do is MOHS surgery which is a method of removing skin cancer and skin cancer is - it's very much on the rise. But this is a typical skin cancer on an eyelid of a gentleman that we'll meet later. And what we do is we remove tissue, we analyze the tissue in our lab; we then match up where the positivity is in the tissue. These are frozen sections. We look at them under the microscope and within 20 minutes we can know exactly where this positivity is and go back in that exact area.

But dermatologists over the years have utilized the entire electromagnetic spectrum in terms of detection, treatment, and prevention of skin disease and I'd like to just - and I consider light and photon energy all radiation, so I think it might be helpful. So I'm going to start with the longer wavelengths and then work my way up to radiation and talk a little bit about how we use it. This, for example, is a microwave tissue processor, and in the past to get a biopsy back on permanent sections we would have to wait one or two days to put it through formally. We can now get results back in four

1 hours because this accelerates the tissue
2 process.

3 And the next part of the spectrum is
4 infrared, which we all are familiar with CO2
5 lasers and 1060 nanometers, and this is a typical
6 workhorse CO² laser that we use to treat warts,
7 skin cancers, and other entities. And this is a
8 good example of rhinophyma and then going after
9 it with the laser and treat - you can literally
10 with these lasers write your name on a piece of
11 paper and burn the ink off and not burn the
12 paper. That's how exact it is. This laser has
13 been approved to do something called fractional
14 laser where you put little tiny dots in the skin
15 and it goes down and excites the collagen and
16 tightens up people's skin. That's a cosmetic and
17 we'll see this again in just a minute.

18 But infrared can also cause skin disease,
19 and this is a condition called erythema ab igne.
20 Everything in medicine is in Latin so it makes it
21 sound better, but it's just "redness from the
22 fire". But this is chronic - this is a person
23 with chronic back pain who's had a heating pad on
24 and this is an older lady that was sitting by the
25 fire for many years and burned her legs. But as I

1 said, the entire spectrum of radiation can cause
2 problems. And this is a gentleman I met probably
3 eight or ten years ago from Marianna who moved
4 back here, and for some reason he had multiple
5 squamous cells on his legs. And here's a good
6 example of somebody's erupted squamous cells that
7 are coming up on his legs, and I kept wondering
8 why would you get just squamous cells on your
9 legs? And I kept asking, you know, have you ever
10 been exposed to radiation? No, no. And then, lo
11 and - and we did a scatter gram on it like we do
12 on a lot of things and basically the guy had 41
13 squamous cells on his lower legs and with no
14 known reason. He wasn't exposed to arsenic or
15 anything like that.

16 Well, come to find out he was a - he ran a
17 large fire crew and he went all over the United
18 States and stood within two to three feet of the
19 fire line at all times, and we wrote this up as a
20 paper in our American Academy of Dermatology
21 Journal.

22 And visible light. We've all heard of Mad
23 King George and in retrospect he probably had
24 porphyria and that's a disease that often happens
25 when people are a little bit - become inbred.

1 But the porphyrias are a group of hereditary
2 disorders that have to do with heme synthesis. I
3 mean, making our hemoglobin. Some of them are
4 acquired from lead and other things, from
5 alcohol, but any diseases that represent
6 excessive porphyrins are called porphyrias. And
7 this is what we did for about two months in
8 medical school - memorize all these different
9 pathways going from aminolevulinic acid all the
10 way down to hemoglobin, but some of the disease -
11 here's hemoglobin down here - but in some of
12 these disease states there's enzymes missing or
13 blockage of these enzymes.

14 And this is a typical disease that we see
15 with porphyria cutanea tarda which is the most
16 common porphyria known. They get this blistering
17 of the hands. They have - they're very
18 photosensitive. They also get increased hair on
19 the face, okay, and they get scarring from all
20 this and they get this sort of almost a wolf-like
21 appearance. So you can imagine in the Middle
22 Ages these individuals might have been considered
23 werewolves or vampires, and they were - they were
24 all anemic because they didn't make enough
25 hemoglobin. They couldn't go outside. Garlic is

1 one of the greatest inhibitors of protoporphyrin
2 IX synthesis, so it causes a great spike in the
3 disease.

4 It's, I think, to the credit of various
5 specialties that we took this disease state and
6 we went to using it to prevent disease. So this
7 is aminolevulinic acid or blue light care stick
8 (ph), and it's a precursor to porphyrins. And
9 any cells that are rapidly dividing, it gets
10 taken up and it gets blocked at protoporphyrin
11 IX. So we then put people under the light and
12 they get a photosensitive reaction. And here's a
13 good example of a before: a gentleman with
14 multiple squamous cells and a lot of severe sun
15 damage and after. Now, blue light as you know
16 doesn't - it has more energy but doesn't
17 penetrate very deeply. If you put a flashlight
18 in your mouth, the red light comes through it and
19 penetrates deeper. So we then looked to the Q-
20 bands which are a little bit further down the
21 road but not as powerful, this end of the
22 spectrum over here. And the red spectrum. And a
23 company sent myself and two other derms to
24 Amsterdam for a week to study red light
25 porphyria. This is the red light district here.

1 Anyway, it's a much more penetrating method of
2 treating things and in Europe it's approved for
3 basal cells and squamous cells. United States is
4 just for pre-cancers, but we use it a lot for
5 these.

6 And this is an individual with severe skin
7 cancer problem. I remember running into him at
8 one of our local Espositos, which is one of kind
9 of home supply shops, and he's out getting tomato
10 plants. I said to him, I said, I think I'm more
11 worried about your skin than you are. You know,
12 you're out planting vegetables and I'm trying to
13 get the skin - but this is day four and this
14 stuff caused a very, very blistering exudative
15 reaction and it really cleans people up very
16 nicely. And we just recently had started
17 coupling it with, if you remember I showed you
18 the fraxel wave. So we can punch holes with CO²
19 lasers into the skin and then we can - this is
20 the absorption spectrum with fluorescence at 180
21 minutes without the - without any pre-treatment,
22 but if you pre-treat with that Fraxel laser you
23 get a much increased - so we try to stack
24 technologies and where we are in north Florida,
25 the skin cancer ratio is extreme. I mean, we

take care of farmers and fishermen.

1 So here's just a couple of other lasers,
2 the pulse dye yellow laser which is used for a
3 lot of different vascular lesions. For example,
4 this little child with a congenital hemangioma
5 before and after; it does a very good job, it's a
6 very safe laser. IPL is a very, very safe multi-
7 spectral laser-like device that hits all
8 different wavelengths. It kind of resurfaces
9 patient's skin.

10 I'd like to talk a little bit about
11 melanoma

12 because it's the biggest problem dermatologists
13 have and - there's 300 million Americans. We
14 have about 20 moles each, so there's about 54
15 billion nevi, 100 million melanomas a year. So
16 for us to find a melanoma, it's like we have to
17 look at 50,000 nevi per melanoma. So it's a
18 needle in a haystack. And here's a very good -
19 there's certain things that we can look for.
20 That's a symmetric lesion. Here, this is
21 asymmetric. This is well circumscribed. This
22 one is very poorly circumscribed, the borders.
23 This has one color, this has several different
24 colors: black, brown, red. This is less than 6
25

millimeters, that's greater than 6 millimeters.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25
Around 1990, the Germans and Austrians came up with a little device called the dermatoscope.

Actually, they used an operating microscope for years and came up with these subjects. But it allowed us to look below the skin's surface and we could see features just like colposcopy or other methods that we couldn't see with the naked eye.

For example, here's a nevus that's round and very regular looking and with dermoscopy you see it has a fairly normal pattern. This is the same appearing lesion. This one has a very irregular pigment network and that one is a melanoma. And this is an example of a young girl that I have had the pleasure of taking care of for about 25 years. She has xeroderma pigmentosa. And actually this is another girl; this is a girl with multiple nevi. We have to find a needle in a haystack and this is, this is a melanoma on the arm that we could help with that.

Now, this is the girl I was talking about with xeroderma pigmentosa, and we look at this girl once a month. She's from Perry, Florida,

1 has no insurance. This little mole here we look
2 at under the dermatoscopy that shows that it's a
3 melanoma. Another mole here, that's a melanoma
4 under dermatoscopy. Another mole right below it,
5 another melanoma in situ there. And we wrote her
6 up, and at the time we wrote this up in 2009 she
7 had had 38 melanomas. Now she's had 62. The
8 average depth is 0.15 which is reasonable. And
9 we wrote this book in 1990 and it's The Color of
10 Atlas of Dermatoscopy, and it's the first book on
11 this subject. Myself and Harold Rabinowitz from
12 down in South Florida have done a lot of work on
13 this here in Florida.

14 We also wrote a rule on dermatoscopy where
15 we were able to look at various features,
16 asymmetry. This has no asymmetry. This has
17 asymmetry; one more. This has two irregular
18 borders; none; four irregular borders; eight
19 irregular borders; various numbers of colors.
20 This has five colors; this has three; this has
21 two different structures. And we were able to
22 then do various retrograde analysis and weigh all
23 these different things and came up with an
24 algorithm and anything over 5.45 was a melanoma;
25 anything under 4.75 was not. As soon as we did

1 this, people started sending us - saying to us,
2 well, we can do this with computers. And we
3 said, you know, there's no way you can do this
4 with a computer.

5 Well, lo and behold, MelaFind® came out
6 after several different attempts and it's a
7 multispectral analysis system that looks at
8 moles. And we basically put it on the patient
9 and - let's see here - and it basically sends out
10 ten different wavelengths, collects the data, and
11 by a proprietary algorithm, statistical
12 algorithm, they can - it can analyze what is a
13 melanoma and what isn't. And it looks at - goes
14 from the blue wavelengths all the way down to
15 below the infrared there and can actually tell us
16 the depth of the melanoma. And I was the second
17 author on this paper where out of 127 melanomas
18 it found 125, and that's impressive because the
19 best dermatologist in the world will probably
20 find 70 or 80 percent. The key point is the
21 majority of biopsies that are done are not
22 necessary and that this may be a method of
23 leveling the playing fields and allowing anybody
24 to make a better diagnosis.

25 So a little more about ultraviolet.

1 Ultraviolet A is the most penetrating and the
2 most damaging of all the ultra-violets.

3 Ultraviolet B is what causes cancer. It is
4 brought by the ozone layer. Ultraviolet C is in
5 outer space, thank God, and doesn't bother us.
6 But this is a teacher who sat facing the south.
7 Her right side of her face facing the south for
8 30 years and you can see the difference. Her
9 left side was exposed, the left and right. I
10 think that's dramatic and this is a black light
11 or infrared - or ultraviolet photo of a young
12 girl with sun damage that is not really seen.
13 This is a typical photosensitive disorder, lupus
14 with the butterfly rash. This is a question -
15 this girl last Monday was at a party and doing
16 something; anybody have any idea what she was
17 doing?

18 DR. SCHENKMAN: Limes.

19 DR. COGNETTA: Huh? Oh, thank you very
20 much,

21 Dr. Schenkman, that's very good. Yeah. So she -
22 this was your multiple choice, but it was lime.
23 And that's lime dermatitis or berloque
24 dermatitis. This is a psoralen type product;
25 this is bergamot which is in a lot of different

1 perfumes. Very good. And we use psoralens for
2 various diseases. We put it on topically and
3 treat vitiligo. We put it on people with
4 psoriasis, so we use that wavelength, ultraviolet
5 A, to get rid of that. And one of the things
6 that the tanning bed industry has tried to tell
7 us for years, which isn't true, is that
8 ultraviolet - that tanning beds help get us
9 vitamin D. It doesn't. You see there's an
10 abrupt cutoff at 3:15 and its peak absorption is
11 ultraviolet B.

12 Here's the ozone layer in 1979. It's
13 pretty healthy. This purple shows that it's 110
14 units here and it's 92 in 2008, so we are burning
15 holes in our layer, but to get to the next part
16 of the talk here which is my final part - energy
17 in electromagnetic radiation. I just want to
18 show you a study that we did that I thought was
19 intuitive and interesting, but about seven or
20 eight years ago we started seeing - in one week,
21 I saw seven or eight people that had multiple
22 mid-line skin cancers and they're all in their
23 70s or 80s. I could not figure out; they had not
24 had a history of radiation for acne which many
25 patients have in Florida, and here's another one.

1 This, this - the last guy worked with CRTs early
2 on in the computer industry. This guy was a -
3 worked on a gunfire coordinent using a high end
4 voltage CRT, an oscilloscope, in World War II.
5 This was a guy aboard a naval vessel and also had
6 his head in a CRT or oscilloscope for many years.

7 And basically these screens are really just
8 cathode rays and back in the early days of
9 oscilloscopes there was no leading of the glass.
10 So these patients got - they would sit there and
11 look for hours in this little thing. They'd come
12 out, they'd say their face felt a little bit red.
13 And we wrote this up and 235 skin cancers in nine
14 patients, midline face, and we got letters from
15 all over the world, people, you know, saying how
16 they - but, anyway, I did leave a little thing
17 inside here on the history of radiation. I feel
18 like the history of radiation is one of the most
19 intriguing stories there ever has been, and we
20 all know Dr. Röntgen discovered these
21 accidentally using a cook's tube. He wrote the
22 first article, he won the Nobel Prize in 1901.

23 In 1902, there were four cases reported by
24 bridge dermatologists treating basal cell
25 carcinoma, throat ulcers. This is an American in

1 Philadelphia dermatologist talking about it and
2 our American Academy of Dermatology in 1903 there
3 were already - they were already speaking on
4 radiation in 1903 as rational indications for its
5 use. And here's an example of a basal cell from
6 1902, before and after; and you can imagine the
7 excitement this caused at that time when there
8 was no surgery or really any good effective
9 treatments for these.

10 This is Phillip McKee and he was the head
11 of
12 NYU Skin and Cancer in the 1920s. He wrote the
13 first textbook on x-ray and radio and there have
14 been a series written all the way through this
15 century. And basically we all know a cathode ray
16 to the difference with superficial x-rays,
17 there's usually a beryllium window here and it's
18 a multispectral energy pattern that comes out and
19 - I'm not going to bore you with this. Excuse me
20 for a minute. I want to show you these real
21 quickly. Now, these are the machines - these are
22 the last machines made in the United States up
23 until 1995, let's say, and these were built in
24 1962, and they work well. This is a typical
25 basal cell on the rim of the nose, same guy the

1 rim of the nose. This is three years after
2 superficial radiation and this is three years
3 after superficial radiation.

4 This is another small basal cell of the
5 nose that was sent to me for MOHS surgery, and I
6 felt it could be treated with radiation. We
7 treated him - this is 12 years after.

8 The more modern machines obviously have
9 LCD.

10 They have a lot of different safety factors. You
11 dial in the amount that you want and it won't let
12 you shoot over a certain amount of radiation, and
13 you see everything on one screen where the old
14 machines you used to have to watch the
15 kilovoltage and the milliamps. And this is a
16 woman with a large squamous cell carcinoma in
17 situ on the forehead. This would have taken a
18 large skin graft. This is fairly - this is
19 desquamation day 14, this is two years out. This
20 is a 102-year-old lady with a nodular basal cell
21 on the tip of the nose. This is post-op day 14,
22 this is 181 days out and she's still alive.

23 About seven or eight years ago, I decided
24 to write up my last ten years - 1990 to 2000, of
25 all the cases I did during that time with

1 radiation. There were 715 cases that we treated
2 with superficial x-ray. It's the largest series
3 of its time and there were about 631 basal cells,
4 there were 860 squamous cells. There were - the
5 most common location was the nose. The
6 recurrence rate, all recurrences was 2.6%. We
7 used very conservative recurrence. If something
8 came back nine years and 11 months next to the
9 umbra of the lesion, we counted it as a
10 recurrence even though it was probably a new
11 lesion. For basal cells, we had two percent - a
12 two year 4.2% cap estimate which overestimates
13 the recurrence rate. Every one of these lesions,
14 I looked at this line. I knew how deep it was.
15 I feel like after 25 years of MOHS I know how
16 wide these lesions often are. Squamous cells
17 have a little higher recurrence rate that's still
18 acceptable and the average radiation is 31 years.
19 So we have been doing superficial radiation for
20 over a century. There have been a lot of new
21 strides in the symmetry and technology.

22 The population of our state is rapidly
23 growing. Almost all the patients we see are on
24 Coumadin or have a pacemaker or some other
25 medical problem. My son sent me this here. I

1 think it's kind of funny. This is the
2 electromagnetic spectrum according to a comment,
3 but anyway, here's radio waves, microwaves,
4 toasters, infrared, regular visible light,
5 ultraviolet light, Miller Light, all the way down
6 the spectrum, and anyway, Dr. Mendenhall and I
7 are close friends. We completed this book in
8 2013. We worked very closely together on a lot
9 of difficult head and neck skin cancers and I am
10 very honored to be here today. I hope I can
11 contribute to this group in the future and work
12 with everyone here. This is a beautiful canopy
13 road in Tallahassee; I hope you all experience
14 this. Thank you.

15 DR. SCHENKMAN: Does anybody have any
16 questions for him?

17 MR. FUTCH: I have one. When we talked
18 previously - had a previous discussion - I missed
19 the last Council meeting about the training and
20 educational requirements for physicians to use
21 superficial - in general, to do radiation therapy
22 from machine based sources - some fairly
23 extensive requirements for physicians using
24 materials to treat cancers, things like that. So
25 that was kind of a genesis of this whole thing

1 and then we started getting in the bureau some
2 calls from various places, even other states,
3 asking about the newest form of the superficial
4 machine which is produced by a company in Florida
5 called Sensus. And then eventually we made the
6 connection of you and you have some experience,
7 and you had some dealings with the company.

8 What are your thoughts on how a person who
9 wants to use one of these machines, what types of
10 patients, and then the terms of the training of
11 the experience of the physician. What would you
12 - what would you say is optimal?

13 DR. COGNETTA: Well, I did include the paper
14 in this handout if anybody cares to read it. We
15 were very selective on - we treated five percent
16 of patients who were referred to us from our
17 surgery with radiation over that ten year period,
18 maybe five or six percent, somewhere in there.
19 That's a lot more than most MOHS surgeons do, I
20 can tell you. There are very few people even
21 offer the option of radiation therapy to patients
22 who are elderly. And even though the cure rate
23 is not as good with radiation therapy, it's
24 better for some patients than, than, than four or
25 six hours of MOHS surgery, which if I - if - so

1 looking at, you know, the way that we deal with
2 tumor volume and tumor choice, you know, choosing
3 different modalities is we actually sit all day
4 looking at tumors under a microscope, and we can
5 measure them. We can measure their depth, their
6 volume, and basically we also - there's basal
7 cells and there's basal cells from you know
8 where. I mean, they're very aggressive basal
9 cells of invasion that require MOHS surgery and
10 radiation, and then there's very small nodular
11 basal cells like a couple ones I showed you on
12 the notes that literally melt away with
13 radiation. So judgment is something you can't
14 legislate or whatever, and I've gone out
15 lecturing all over the country for many years on
16 radiation and how I do it, and I think our
17 results show that if you do it that way it's
18 good. But you really can't tell people that, for
19 example, I'm not a big believer in using
20 radiation on the lower extremities or really
21 anywhere below the neck, I think surgery is a
22 better option almost always.

23 As far as training, I grew up in an era
24 when we were trained to use radiation. We had
25 two units in our - in our - at the first practice

1 I came to in Tallahassee had a Picker a Universal
2 and a Grenz. So it was very natural for me, but
3 MOHS surgery became so popular and so effective
4 that basically most people - and so remunerative,
5 that most people said well, let's do surgery.
6 And the radiation oncologists, a lot of them, and
7 I'm sure those here can speak to it, really got
8 rid of their superficial units because they
9 started using electrons. And this is a very, you
10 know, compared to a lot of laser platforms that
11 dermatologists use, and we have about 12 in our
12 office, you know, the physics of this is, is, is
13 not - is, is very comparable and in fact somewhat
14 easier than a lot of them when you're looking at
15 different, you know, durations and milliseconds
16 of treatment. So how patients - how individuals
17 learn to use this is variable. I've had people
18 spend a week with me, quite a few, and spend time
19 with me and read our textbook, and we give
20 several courses every year. But it has been part
21 of the dermatological material since the early
22 1900s. And it is now - I give the forum at the
23 American Academy of Dermatology very year, it's a
24 2-1/2-hour forum, and it's well attended. So we
25 all get our knowledge from, you know, our

1 American Academy of Dermatology and the State
2 societies do it, also.

3 But what I'm interested in is making - is,
4 you know, perhaps being a way to train, you know,
5 your inspectors to, you know, I think we have a
6 good method of documenting what we do and, you
7 know, fail safes and ways to contact patients if
8 they don't come back in, and things like that.
9 There's a lot of logistics that go into radiation
10 and positioning and stuff like that, so I've
11 learned that over the years. So I don't really
12 know how to answer your question about, you know,
13 who should - who should, you know, how you train
14 somebody, but it is a good question. But I think
15 that your inspectors should be able to look at it
16 and say, well, this is a good way to administer
17 it and this isn't - and things are missing, so I
18 hope I can help in that respect.

19 MR. FUTCH: We had the opportunity to watch
20 Dr. Cognetta treat one patient and it was very
21 informative to see the different aspects of the
22 preparation and watch the reaction of his wife
23 not too far away, and it was very impressive. I
24 think the issue that was raised is, is there a
25 national standard for recommended training and

1 experience? This is what always comes to us.

2 You know, we're a regulatory agency, so people

3 say, well, should this be regulated and if so,

4 how, and so forth and so on. I guess we're

5 contacted by some other states that actually

6 already have some training and experience

7 requirements for the positions to use these kinds

8 of devices.

9 MS. FORREST: Yes, I think we were contacted

10 by a committee working in Council on Radiation

11 program directors and they usually send us

12 surveys about, you know, what are you doing in

13 your state, here's what other states are doing.

14 And they're trying to come up with national

15 standards that they can recommend or suggest to

16 your state programs. So that's always going to

17 come up about, you know, what the qualifications

18 are, the people that operate the unit of course,

19 and then also what training and experience should

20 one have to use the device. And so those are the

21 kinds of questions that they're going to raise.

22 DR. JANOWITZ: Does the dermatology training
23 program include radiation therapy?

24 DR. COGNETTA: They did when I went through
25 it. That was 28 years ago. And they are now.

1 They're starting to again now, but it's - as I
2 said, dermatology went from a medical field to a
3 surgical field and there was a time when
4 radiation, I should add, before topical steroids
5 and anti-inflammatory drugs, radiation was the
6 only thing out there for various skin conditions.
7 So it was used extensively and every
8 dermatologist when I went into practice who - you
9 know, in the early '80s that - any time had
10 radiation units and had training and, you know,
11 there was a lot more, but as those individuals
12 died and you see these machines were last built
13 in the '60s; and then the gentleman that
14 developed the digital mammogram decided he was
15 going to build a Phillips short contact machine
16 again but digitally. And he built one. I bought
17 one of those from Topex and then Sensus bought
18 them from them. So there's been some training
19 from industry, there's been some training from
20 here in Florida Bob Nestor down in Miami has put
21 together a one-day course on it here. We get a
22 course from the academy and I'm asked to speak
23 here and there, but I don't know if I answered
24 your question, but it's not, you know, it's like
25 nuclear medicine 20 years ago. It's different

than now.

1 DR. WILLIAMS: I've taught at several
2 levels of this actually. Locally, it's the same
3 here and nationally. I don't think that most
4 dermatology programs have specific training in
5 radiation oncology these days. At least, that's
6 what we hear from ASTRO and I think if you look
7 at the dermatology textbooks you'll find these
8 2000-page textbooks have five pages on radiation
9 oncology. From our specialists point of view,
10 the history isn't quite the same. I mean, I
11 enjoyed seeing the pictures about the regimented
12 x-ray spectrum and they're very impressive the
13 things that you do and everybody can certainly
14 see that there's a lot of value, you know, in
15 multiple different wavelengths and different
16 medical applications, but the niche that
17 radiation oncology and dermatologic oncology to
18 the extent that it's practiced involves ionizing
19 radiation, which is a different biology, you
20 know, from the visible spectrum, from the
21 infrared spectrum, and the ultraviolet spectrum.
22 I mean, these are - the biological effects of the
23 radiation are substantially different they're
24 completely different and the orthovoltage role
25

1 right now as I survey it has three different
2 aspects to it.

3 There's the isotope based which is
4 Nucletron Varian basically, the meridian based
5 devices, and we have one of those in our office
6 and we use it in skin cancer as well in my
7 office, and you and I are the same generation and
8 both depend on it as well. And so I have no
9 question at all about your capacity and
10 expertise. That isn't the issue. But isotope
11 based machines, they had 10 CFR 35 behind them,
12 you know, that's nuclear regulatory stuff. The
13 State has really, you're in an agreement state or
14 not agreement state, I mean, the rules are pretty
15 clear about what the T&E is for an isotope based
16 machine. I mean, you've got to be a radiational
17 oncologist basically to use that machine. I think
18 that's appropriate as an authorized user who uses
19 all types of sealed and unsealed sources; you
20 really have to be cognizant of not only the
21 clinical side but also the physics side and the
22 biological side.

23 And then there's the traditional
24 orthovoltage machines, which are correct. We
25 gave up our machine in 1997, I think, not because

1 we didn't like orthovoltage. We couldn't, we
2 couldn't service it. It was a Siemens unit and,
3 you know, they basically said we don't service
4 that machine anymore, you know. So we didn't
5 shift over to electrons because we loved
6 electrons, we shifted over to electrons because
7 we didn't have orthovoltage, you know. So our
8 specialty, you know, sort of morphed over into
9 the isotope based stuff, you know, before the
10 orthovoltage stuff has now started to re-emerge
11 and that's where the isotope based stuff sort of
12 shifted over to the skin cancer world from the
13 breast world and the GYN world and the prostate
14 world, where it mostly resides.

15 But the traditional orthovoltage which is
16 now coming back, you know, through Sensus among
17 others, I think, and I'm not sure what your
18 relationship is with them, but I think you have
19 some type of relationship.

20 DR. COGNETTA: I do, yeah, I do. And so
21 I've been on the medical advisory board and I've
22 purchased stock in that way before they were
23 viable, and so I have been involved with them and
24 I helped train them. You know, I've not helped
25 train them, but I've been involved in training

1 individuals who are interested in being trained
2 at no charge.

3 DR. WILLIAMS: I think it's great how the
4 device works. I mean, it's a wonderful machine I
5 think it's, you know, I've looked at it and not
6 for this particular venue but the national stuff
7 with ASTRO and there's no question that it's a
8 high quality machine that will deliver successful
9 orthovoltage therapy. And it's fairly
10 straightforward, you know, it's basically just an
11 RKV generator. And then we find ourselves with
12 the e-brachytherapy which is sort of this middle
13 ground of technology; the newer stuff. It sort
14 of pre-dates the Sensus. You know, John Riecki
15 (ph) is a good friend of mine. He is sort of the
16 inventor of one device and I've been working with
17 him for close to 15 years, I guess, since the
18 original genesis of the idea. And it was - it
19 was always intended as a radiation oncology
20 device. Those rate very high with it and there's
21 national controversy about where e-brachytherapy
22 falls as far as the T&D requirements go. And
23 next week I'm speaking at CRCPD. I don't know if
24 you guys are going there or not, but I'll be
25 there along with ASTRO staff to discuss this

situation.

1 And in this state, and correct me if I'm
2 wrong, we have specific T&E language that says
3 basically you have to be a certified radiation
4 oncologist to use e-brachytherapy and not just
5 orthovoltage. We thought that was the right
6 thing to do. This advisory board debated it
7 several years ago. It was carried through the
8 legislative process and successfully became
9 regulatory language in this state. Other states
10 have tried to emulate it with mixed success.
11 There is no national consensus as to where e-
12 brachytherapy falls within T&E.

13 You are correct. Dermatologists have been
14 using these things for years. The first
15 radiation treatment I saw was a Grenz ray when I
16 was a junior medical student at Augusta, you
17 know, in the late '70s. And I thought, well,
18 that's weird, you know. So it is, I think, a
19 place - you know, I don't know where that place
20 is, though. But you can see these pendulum
21 swings in large practice patterns and based to
22 some extent on technological development. And
23 like you point out, they're based to some extent
24 on, you know, sort of the, you know, the
25

1 experiences in the field on it and they're based
2 to some extent on pecuniary aspects, you know,
3 human nature being what it is, and having been
4 involved in health care economics for over 20
5 years you see that in all specialties. I think
6 that if Bill was in this room, I think he is
7 probably the world's expert in management of
8 radiation cancer, my guess is that Bill would say
9 that this is an area that needs to be carefully
10 considered from the standpoint of exactly where
11 it takes brachy because with all due respect to
12 your capabilities and expertise, you know, I'm
13 just a local guy but there's a lot of stuff that
14 goes on in the field both on the radiational
15 oncology and the dermatology side which should
16 not be done.

17 DR. COGNETTA: I'm in complete agreement
18 with you. We see a lot of difficulty with
19 electron beams, for example, scalp and
20 (inaudible). On that where physics aren't done
21 right (inaudible) radionecrosis from multiple
22 cancers in these fields and everything, and as
23 far as electron brachytherapy is concerned, it's
24 in my opinion is nothing other than a short
25 contact. You know, it's a miniaturized cathode-

1 it is not E-brachytherapy. It's just the thing -
2 and dermatologists have long agreed that, you
3 know, it's not something we want to be doing in
4 our office and it's the - the controversy I think
5 has been because people have been teaming up with
6 radiation oncologists and the bills have been
7 \$25,000 to \$30,000 for a treatment, whereas our
8 average bill is literally under \$500 for five
9 fractions. So from a pecuniary aspect, I mean,
10 people are taking this and are going to kind of
11 capitalize on it, I do believe, but there are
12 those of us that are doing it for I feel the
13 right reason in the right way. And as the
14 population ages, and health care economics as
15 they are, can we afford to spend \$10,000 or
16 \$12,000 for a basal cell with an electron beam
17 versus a superficial radiation unit that'll do it
18 for, you know, even with the complex physics and
19 stuff, for a thousand or something like that.

20 So there - it has a place. I would very
21 much like to work with the radiation oncologist.
22 I have worked very much with Bill and Bill has
23 been to my office many times and he's seen my
24 results and everything, but can everybody do it
25 correctly? Will they do it correctly? Probably

1 not. And it concerns me, also, because I
2 invested in this company because I didn't want
3 this technology to die, and that first machine I
4 showed you was the end of the line. And so - and
5 none of my studies had anything to do with Sensus
6 whatsoever. Now, they used them to kind of
7 promulgate this, but you know, the safe and
8 judicious use of this modality is important, but
9 as I was showing you, You can get in trouble with
10 any laser I show you. You can get in trouble
11 with any of your machines. I mean, there are
12 problems everywhere. And dermatologists have a
13 distinct set of knowledges about skin cancer; you
14 guys have an incredible knowledge about the
15 radiation and physics of it. Some of it is
16 pragmatic and, you know, empirical what we do,
17 but there's a lot of physics that we do
18 understand. I was a physics major, so I'm not -
19 I mean, any way we can move this forward as far
20 as setting some type of standard forward, I'd be
21 completely for it.

22 MR. FUTCH: Does anybody - let me back up
23 for a second if I might. You know, we have a
24 couple of statutes in Florida for this. Dr.
25 Williams mentioned the part that comes from the

1 Nuclear Regulatory Commission, authority for the
2 source-based side of radiation therapy and the E-
3 brachytherapy regs that we wrote a few years back
4 on the machine side.

5 You know, the Bureau, I think you guys will
6 probably all admit is not exactly trying to beat
7 people over the head with new regulations all the
8 time. We try, and hopefully this comes through,
9 we try and take a very reasoned slow consensus-
10 based approach and really don't like coming up
11 with - when it's needed, first of all decide
12 whether it's needed, and if it is needed don't
13 try and recreate the wheel. If there's some sort
14 of a national standard, you know, we'd rather use
15 that for the basis for regulation making in
16 Florida.

17 And you may have noticed that the climate
18 for regulation making in Florida is not exactly
19 like it might be in some other parts of the
20 country, medical marijuana abstaining from that.
21 But, yeah, so anything to get a discussion going
22 about this. Is there a need for doing something
23 for that middle section that Dr. Williams was
24 talking about before, and then what? You know,
25 it's gotta be something that's supported 'cause

1 trust me, if it's not we'll be in court pretty
2 quick and nothing will get adopted. That's my
3 two-thirds. I don't know if you have anything
4 else some of you want to say or you, Yvette,
5 since it's your actual program.

6 MS. FORREST: We've been receiving a lot of
7 questions about one particular unit in general.
8 It's the SRT-100™ that seems to be a very popular
9 superficial machine. We do receive or have
10 received increased questions. Mainly what we're
11 receiving is, are there any additional regs?
12 What do I do with this? Is this something
13 special I need to do?

14 The program office continues to field those
15 questions until we really know the answer. I've
16 enjoyed listening to both of you today. I'm
17 excited to see, as they say, where this ball
18 finally bounces but the program office will
19 continue to receive these questions. And if the
20 Council is interested, I can compile every six
21 months or every year to just kind of give you an
22 idea of what the program office is facing as this
23 new technology develops and continues because
24 it's not going away.

25 MR. FUTCH: I know I will be very interested

1 in hearing the outcome of this CRCPD meeting. It
2 sounds like there's a committee and some, and
3 some discussion that's going on, and that's
4 always a good place for us to get suggested State
5 regulations if there are going to be any or one
6 standard for the country.

7 I'm curious also, Mark, have you seen one
8 of these? Any thoughts on this particular area?

9 MR. SEDDON: We have older units which we
10 have not used in a few years. I think Dr.
11 Williams was saying one was still in one of our
12 departments or it's an old unit, so we have not
13 seen any of the newer ones at our facilities, at
14 least within the radiation oncology department.

15 MR. FUTCH: Is there a - Dr. Williams, you
16 mentioned a genesis for moving away from
17 orthovoltage was the hardware. Now that this
18 SRT-100™ - and I don't mean just to speak on the
19 one particular product but it seems to be the
20 Kleenex of the superficial world.

21 MS. FORREST: It's the most popular one that
22 we're receiving questions on.

23 DR. COGNETTA: I think it's the only one.
24 There's another company, Guilmay in England, and
25 they have a 250 kV and a 100 kV, but - what's

that?

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

BOARD MEMBER: Nobody's gonna use 250 kV.

DR. COGNETTA: Well, I'm just saying they use the two units, the 250 is the (inaudible) - but they do make another unit but there's no way to service them here in the United States, and they're not in my opinion well built.

MR. FUTCH: Well, I was curious if now that this technology is kind of re-emerging if there's any interest from the oncologist to do something with it.

DR. WILLIAMS: I don't know. ASTRO and ACR have a guideline which is in draft form. I can't share it because I'm part of the review committee for it. My understanding - I could be wrong about this - is that there was some type of a bridge between the AAD and ASTRO, but I'm not sure about that. You may know something that I don't.

DR. COGNETTA: There is something.

DR. WILLIAMS: Yeah, I'm not part of that. So if it does, I don't know what traction it's got. There's an LCD if there's CMS then the State will try to get some of the control over some of the strange fractionation schemes that CMS -

1 First Coast Service Options were seeing up in
2 Jacksonville. That's been out for some number of
3 years actually and I think that Dr. Corcoran, the
4 CMD up there, was thinking about refining it
5 although he hasn't really made a decision on that
6 yet. So it has sort of an economical throttle on
7 some of the hypo, hyper, hypo-fractionated things
8 that were going out there.

9 MR. FUTCH: - Oh no, I can't imagine that
10 would ever occur.

11 DR. WILLIAMS: Yeah, 60 skin fractions ...
12 30 a day.

13 MR. FUTCH: I know of one person doing that.
14 There's no one person and they're using some
15 archaic unit.

16 DR. WILLIAMS: Yeah, the only one I know - I
17 think most people are doing 10 or 12.

18 MR. SEDDON: I think so. I think most
19 people have some desire to field test -

20 DR. WILLIAMS: There's some literature for
21 it

22 But there is an LCD out there which technically
23 says what you, you know, is appropriate for. So
24 it's not like there's no language in place for
25 it. And you know, so there are, there are

1 efforts that are out there but there's no
2 comprehensive consensus driven, evidence based
3 national program to pull this together. I just
4 don't have - in today's climate, you know,
5 national economic climate I just don't see that
6 not only - and that's with any specialty. Those
7 days if they ever existed are gone. So you won't
8 get a clean answer from a national venue any time
9 soon.

10 MS. FORREST: One can wish.

11 DR. WILLIAMS: Yeah.

12 MR. FUTCH: Have other states decided to do
13 something or have regulations in place already?
14 Were they copying the NRC derived training and
15 experience requirements for the positions
16 performing it, or were they doing something else?
17 Does anybody know?

18 MS. FORREST: Not that it's been presented
19 to the program office.

20 DR. WILLIAMS: There are efforts in other
21 states. The general idea is that in the case of
22 brachytherapy they shouldn't have the same
23 beginning as isotope-based therapy. But you're
24 basically talking about a scope of practice
25 issue, not an NRC issue. And so, so that's a

1 bureaucratic-slash-legislative slog and state by
2 state, so no specialist society has the resources
3 to do something like that. Even ACR, you know,
4 can't do a state by state, you know,
5 comprehensive effort. And (inaudible) is a blue
6 ocean organization, too, and they can take on
7 anybody.

8 MR. SEDDON: So for these newer units
9 located in the dermatologists' offices, are the
10 operators the actual dermatologists or do they
11 have therapists or some technologist type?

12 DR. COGNETTA: I can't speak for everybody,
13 but I push the button every time myself for 28
14 years - for how many thousands of cases, and I
15 check the set-up on everyone. I think a - and I
16 don't know what the regulations are. I've been
17 told by some people that a physician assistant
18 can do that. I'm not certain. I don't have
19 anybody do it but myself and - but certainly a
20 radiation tech could do it, a radiation therapy
21 tech could do it, but what is the-

22 MR. FUTCH: I was going to say, from the
23 468 licensure perspective, there's an exemption
24 for licensed practitioners, which is defined as a
25 person licensed to practice allopathic medicine,

1 osteopathic medicine, chiropractic medicine,
2 podiatric medicine, et cetera, et cetera, et
3 cetera. Everybody you can think of is a doctor,
4 is a physician or someone who is, quote,
5 ``otherwise authorized by law to practice
6 medicine,`` and way back in 2000, the boards of
7 medicine and boards of nursing determined that
8 that language covered certain physician
9 assistants and nurse practitioners in a very
10 general sense. Now, the question was posed to
11 them in the context of x-ray, diagnostic x-ray,
12 and that's the only venue that I've ever seen. I
13 don't know, Jerry, it's the only one I've ever
14 heard of. And what they said was that if the
15 supervising physician was practicing and
16 performing the same procedures, then the
17 physician assistant or the nurse practitioner
18 could perform those same procedures in
19 themselves.

20 For a number of years, probably the first
21 eight years after that determination was made, we
22 understood it and enforced it in the context of
23 the supervising physician having to be a
24 radiologist oncologist, and then we ran into a
25 facility that was using a PA and it was not being

1 underneath - the supervising physician was not a
2 radiologist or an oncologist and we were
3 corrected by the Board of Medicine that it was
4 any physician who was performing those
5 procedures. Okay. So, hopefully, there aren't
6 any ophthalmologists performing radiation
7 therapy; they don't have a PA that's doing, you
8 know, radiation therapy. I don't know, but - Dr.
9 Janowitz?

10 DR. JANOWITZ: Has the independent practice
11 Bill for nurse practitioners passed?

12 MR. FUTCH: I'm sorry; say that again.

13 DR. JANOWITZ: There's a bill pending for
14 independent practice, nurse practitioners -

15 MR. FUTCH: I don't think it did. I didn't
16 really followed it. Patrick, do you remember how
17 soon the nurses were going to be greatly
18 expanded?

19 MR. KENNEDY: Yes, we had our hands full
20 with a couple of issues ourselves. So, honestly,
21 I don't know.

22 MR. FUTCH: I can't remember for sure. I
23 don't think it did.

24 MR. KENNEDY: I haven't heard. Our
25 management team meeting haven't been discussing

it what the requirements are.

1
2 DR. SCHENKMAN: But is it worth looking
3 into
4 what some of the other states are discussing for
5 this to see if they're a little bit further
6 along?

7 MR. FUTCH: I think it's going to happen at
8 the conference of radiation control program
9 directors meeting, that Cindy goes to. And hooks
10 up with that committee that was planned - .

11 DR. SCHENKMAN: Right, and then you bring it
12 back to us and let us know what -

13 MS. BECKER: I don't know when their
14 survey results are out. They were surveying all
15 the state programs to put together their survey
16 results, but it will be discussed, I know, at the
17 meeting. So I will follow up.

18 MR. FUTCH: I know that - just one thing
19 before we leave the topic of licensure statute.
20 So in addition to the licensed practitioner who's
21 exempt, of the licensed people in that statute
22 which would be the basic radiographer and nuclear
23 med tech, radiation therapy tech, all the
24 specialty technologists which we now have and I'm
25 going to talk about after lunch, and the

1 radiologist assistant, the only one that has
2 radiation therapy in the scope is the radiation
3 therapy technologist. That's the only person who
4 can be doing the practice or making the exposure
5 besides the licensed Florida physician.

6 DR. WILLIAMS: So either the doctor has to
7 be
8 at the console or the RTT'S has to be at the
9 console?

10 MR. FUTCH: I don't really know how many
11 RTT'S are employed by dermatologists.

12 DR. WILLIAMS: I don't know. In some
13 states,
14 radiation techs can do it. In certain states, I
15 know that -

16 COUNCIL MEMBER: They can do it in this
17 state if they were registered -

18 DR. WILLIAMS: Not radiation therapy techs
19 but radiation - just a regular x-ray. I mean, I
20 think they're - isn't there, so -

21 MR. FUTCH: There's probably very few
22 dermatology offices that have e-brachytherapy.

23 DR. COGNETTA: One point I would like to
24 make
25 is if people aren't doing it right, they don't

1 get good results, okay. And if they don't get
2 good results, you'll hear about it and I so far
3 have not seen or heard of any, you know,
4 difficult - I mean, I've not - it hasn't been
5 brought to my attention and I think that's, you
6 know, if people are using it without using very
7 good, you know, precise methods, you know -

8 DR. WILLIAMS: I agree with you. That's
9 what

10 TD is for, to make sure that people are doing the
11 right thing, as opposed to the back door making
12 them, you know, not doing right and then trying
13 to regulate. And this body here is designed -
14 our job is to make recommendations.

15 MR. FUTCH: I think we've set a nice
16 baseline discussion for moving forward.

17 Jerry, since you're here, have you heard of
18 any PAs or nurse practitioners who are doing
19 radiation therapy? Have you guys run across
20 that?

21 MR. BAI: No. Almost every - and there
22 aren't that many dermatologists who still do the
23 superficial that I've seen. It used to be much,
24 much more - 10, 15 years ago, but it's like, Dr.
25 Cогnetta, it's usually always the physician that

1 operates the equipment. We never normally see a
2 technologist present. We've had some
3 investigations where it wasn't a technologist or
4 a physician because - yeah, otherwise, the only
5 other superficial use of use that I've seen that
6 the physician isn't operating the unit is mostly
7 through an oncology center where they can
8 actually have dedicated physicists or afford the
9 technologist full time. At dermatology, that's
10 basically all you would use them for is that one
11 procedure for a technologist. So we don't see it
12 at dermatology.

13 MR. FUTCH: How about in general, a PA or a
14 nurse practitioner doing brachytherapy?

15 MR. BAI: That was the only case I've ever
16 seen that, that one investigation that we did. I
17 have never actually encountered that again.

18 MR. FUTCH: Dr. Williams, are you guys using
19 PAs or nurse practitioners or do you know anyone
20 in the community?

21 DR. WILLIAMS: (Shakes head no.)

22 MR. FUTCH: I kind of get the feeling from
23 the blow-back that we got that it's mostly the
24 PAs and the facilities where they're doing
25 diagnostic x-rays, which is not to say tomorrow I

might have a different opinion.

1 DR. SCHENKMAN: Do you want to take a small
2 break before we continue? Break for lunch.

3 MS. ANDREWS: It's 11:45 right now.

4 MR. FUTCH: Yeah, we told them between 12:00
5 and 12:30, so by the time we're over that will be
6 about 12:00.

7 MS. ANDREWS: We have about 15 minutes
8 before

9 we can break for lunch. Those of you who have
10 brown envelopes in front of you; of course I've
11 talked to some of you, that's your travel
12 packets. It's pretty much the same routine as
13 always. There are worksheets there that are
14 partially filled out. If the information is not
15 correct, that's for you to correct any, anything
16 on there. Include receipts in your packets and
17 you can either, if you have receipts now and you
18 drove in and you know what your mileage is, you
19 can give those to me now. Otherwise, if you have
20 receipts that you need to send back to me, just -
21 I'd rather you just put everything together and
22 send it back all together at one time.

23 Remember not to fold the sheets with the
24 signature part on there. I run those back
25

1 through the computer with your final vouchers and
2 sometimes if they're folded they don't go through
3 quite as well.

4 You got your parking passes and anybody got
5 any questions? It's pretty much the same stuff
6 you all are used to.

7 MR. BURRESS: This is the authorization to
8 travel?

9 MS. ANDREWS: That is your authorization to
10 travel. That just needs to be signed.

11 MR. BURRESS: And this one we don't need to
12 correct, right?

13 MS. ANDREWS: Do not correct that one.
14 That's an estimate anyway, so the figures are
15 probably not exact. But that is the
16 authorization for them to, you know, of the
17 budget for travel. The only thing that does need
18 to be signed is that one sheet with the signature
19 part on there, and I do have a sticky there for
20 you to sign that along with the authorization.
21 Like I said, the worksheets y'all can mark those
22 up and make sure when you send them in to me it's
23 correct and then I'll print out the finals. Any
24 questions? Very simple stuff.

25 We are also - last time we met here the

1 Macaroni Grill was about the only place that
2 could accommodate a large group at lunch, and
3 James has kindly gone down there again and talked
4 with them, and we have the menu. I don't know if
5 you all want to see this or just wait 'til we get
6 down there. So there's not really a choice
7 again.

8 We have dictatorship here. Only the
9 Macaroni Grill.

10 MR. FUTCH: It's a large airport with lots
11 and lots of - actually, lots of different
12 restaurants almost all of which are on their
13 side. So unless you happen to have a boarding
14 pass, you're not going to go there.

15 DR. FORREST: The only thing open last night
16 on our side about 10:30 was Chick-fil-A.
17 That was it. And the line at Chick-fil-A, I felt
18 so bad, there were only three staff members
19 working. They had this expression on their face,
20 like -

21 MS. ANDREWS: Yeah, but what about those
22 people in line that were hungry?

23 MR. FUTCH: When we come back I'm going to
24 talk about - I think I'm the afternoon session -
25 we're going to bring you up to speed on some of

1 the licensure activities and the regulations,
2 some of the things that have changed. I'll talk
3 a little bit about nuclear medicine and PET CT.
4 That's kind of become a little bit of an issue, a
5 question, and then I have some scope of practice
6 issues involving modified barium swallows and
7 PICC lines, for the radiography folks in the
8 room, some questions for you guys. But I think
9 it's probably best to save all that until we come
10 back, so unless anybody else has anything, why
11 not just go ahead and break for lunch? What time
12 are we coming back?

13 DR. SCHENKMAN: It says 1:30, but -

14 MR. FUTCH: Okay. It is a large group and
15 it is Macaroni Grill, so probably 1:30 is a good
16 idea if you can - if you happen to get bored and
17 you're just hanging around, come back, we'll do
18 it at 1:30, I guess, if that's okay with you
19 guys.

20 DR. SCHENKMAN: Okay.

21 MS. ANDREWS: And remember those people who
22 stayed overnight, if you did not get your parking
23 validations make sure you get those from the
24 check-in counter.

25 (Whereupon, a lunch break was taken.)

1 DR. SCHENKMAN: Welcome back to our after-
2 lunch session. I'm turning it over to James.

3 MR. FUTCH: Thank you. And I know some of
4 you guys have to get onto a plane at, like, 2:45,
5 so I'll make this as brief as possible. We've
6 got a couple of issues to cover. The first one
7 is the status of our regulation Chapter 64E-3.
8 As you recall, we got authority in the statute a
9 couple of years back in July 2012 to add new
10 types of licenses, we call them special
11 technologists.

12 We worked from 2012, 2013, and June of last
13 year we added computed tomography, mammography,
14 and magnetic resonance imaging. Basically, they
15 are all by endorsement and so there's no state
16 exam pathway. Two of these are by endorsement of
17 the ARRT credential, the CT and the - actually,
18 all three of those are by endorsement of the
19 ARRT. We adopted the scope of practice, so if
20 anybody comes to me and says what is my scope of
21 practice as a computed tomography technologist in
22 Florida? We basically point to the ASRT's scope
23 of practice which is up on the website.

24 And if you see - so here's the license
25 types, CTMR and mammo, and then down here is the

1 scope of practice for the CRT, CT in 2001. Okay.
2 So that was June of last year and on April 20th of
3 this year we finally got the PET license type
4 enacted in regulation. And, of course, the PET
5 license type is by endorsement of the NMTCB PET
6 credential and the scope of practice is the
7 Society of Nuclear Medicine's - let me find it -
8 positron emission tomography. Here it is down on
9 the bottom. It is the scope of practice,
10 positron emission tomography technology scope of
11 practice, and performance standards which is from
12 the Society of Nuclear Medicine and Molecular
13 Imaging.

14 So, again, scope of practice right there.
15 And we have not yet - we still haven't set the
16 database up, I think, to - have you heard back
17 from Allison on that?

18 MS. CURRY: No. I know they're working on
19 it, but -

20 MR. FUTCH: I don't expect a big rush of
21 people to go bursting down the doors, anyway.
22 But in another week or two hopefully we'll have
23 the data base set up so they can actually issue
24 the license. And right now they're taking
25 applications. The application is revised, it's

1 up on the website in hard copy. So if anybody
2 wants to apply for PET, they fill out the paper
3 form and send it in. And when the database is
4 ready they'll issue the license. But that should
5 be happening pretty shortly.

6 Do you know if the other ones are available
7 online yet or is that part of the coming in the
8 future?

9 MS. CURRY: I think it's not online yet.

10 MR. FUTCH: Okay. So probably all for the
11 time being should fill out a paper form. So
12 that's, I think, it for the regulations. There
13 was one other piece of legislation that passed
14 this year, which was - kind of went by the common
15 name of the Florida Veteran's Bill of Rights. It
16 was actually a rather large omnibus bill that
17 included lots and lots of different things that
18 were very much desired and popular downtown that
19 had nothing to do with any licensure that we do,
20 but one thing that was included in the bill was
21 that a veteran who has an honorable discharge and
22 who applies to the Department within six months
23 of that honorable discharge can apply for a
24 license in basically whatever they hold a license
25 in in any other state or territory of the United

1 States. And that would be not just
2 technologists, but that would be doctors and
3 medical physicists and, of course, they're going
4 to be barking up the wrong tree, I guess, with
5 medical physicists because there are not that
6 many states that do it.

7 And the criteria for us to evaluate them
8 are slightly different from the rest of the
9 normal pathways. We can - if I remember right,
10 we can check the national practitioner databank
11 and have to report discipline and they have to
12 report criminal history, and we can deny based
13 upon professional discipline that might pop up in
14 the national practitioner databank or we can deny
15 if any of the crimes are related to the practice.
16 So that's - there was a little bit of blowback, I
17 think, from some of the professions about that.
18 Who knows, they may revisit that in the future.
19 But I think by the time the Department had
20 actually put its bill analysis together and I
21 think the thing had been voted on and passed. So
22 it was, it was, like I said, very popular. So
23 that's the only other piece of - can you guys
24 think of anything else that passed that would
25 affect technologists? Okay.

1 So this is the completion of - I guess it
2 only took us two years to get the PET scope of
3 practice. And, you know, the Society for Nuclear
4 Medicine didn't actually have one, so we were, I
5 guess, partially or wholly responsible for them
6 getting a PET scope of practice.

7 MR. KENNEDY: James, just one thing to add
8 to
9 the veteran's bill, we had made a commitment, I
10 believe, to the Governor's office that we will be
11 licensing individuals which qualify under this
12 new law within a day of their qualified
13 application.

14 MR. FUTCH: Okay.

15 MR. KENNEDY: So that's - we have our work
16 cut out for us.

17 MR. FUTCH: Yeah. Can you get a response
18 from the National Practitioner Databank in a day?

19 MR. KENNEDY: Yes, as far as what I
20 understand. And we are going to continue with
21 the full licensure process behind that, but the -
22 I don't think they call it the provisional
23 license, but the initial licensure in Florida
24 will come within one day. And that's like new
25 criminal background screens and things like that

will take a little bit longer to come on line.

1 Any criminal background they have when they apply
2 will be used, so we're going to feel our way
3 through this but it's certainly a priority of the
4 Legislature.

5 MR. FUTCH: Okay.

6 DR. SCHENKMAN: But you have the ability to
7 revoke it?

8 MR. KENNEDY: Yes. Afterwards they, as I
9 understand it, falls just completely under the
10 Licensure and Practice Act relevant to that
11 professional - profession.

12 MR. FUTCH: Okay. While I'm on this
13 subject, I learned - very surprised - I had a
14 call from a director of imaging center some place
15 in Florida and apparently NMTCB has come up with
16 its own CT license type, which according to their
17 website they're going to be taking applications
18 for that beginning June of this year. And the
19 first exam for their new license type will be in
20 November of I think it's 2014. And so they asked
21 me if we would accept that for the CT credential
22 in Florida and I think I gave them kind of one of
23 those "I'm not sure yet, I have to go talk to the
24 Council" kind of things. But, you know, if you
25

1 go and look at the law I think probably the
2 statutory basis is there to accept that
3 credential, but one of the questions that I had
4 was what is the scope of practice supposed to be
5 for that credential?

6 I talked to NMTCB's staff up in Georgia, I
7 guess it is, and I'm not - and then I talked to
8 some of the folks who were part of their board or
9 whatever their governing body is they talk about
10 and I kind of got the feeling that they're sort
11 of feeling their way through this, but the
12 website says is that - well, one of the questions
13 was, is this a CT licensed to do full diagnostic
14 CT like in radiography or is this to do CT for
15 PET CT or something in between? And there's kind
16 of elements, I think, of both of those answers up
17 on the website. So I think my preference would
18 be just kind of stand back for a while and see
19 how this thing flushes out, unless somebody feels
20 strongly one way or the other.

21 MS. CURRY: So do you know what the CT
22 requirement for that is going to be because you
23 know we ran into that issue with that -

24 MR. FUTCH: Yeah, I remember, oh, yes, thank
25 you. Institutional memory. Yeah, NMTCB had this

1 alternative eligibility, which I think is still
2 there until 2015, an alternative eligibility for
3 the basic nuclear medicine technologist allowed a
4 person to have very minimal training
5 requirements. You did not have to graduate from
6 an actual educational program. You had to
7 complete a certain number of hours. We ran into
8 this one person who had made it all the way
9 through their process and been licensed, and then
10 of course we still ask for educational documents,
11 as well as proof of licensure from whatever
12 agency gave it to them, and she didn't have any.

13 And in the course of figuring out what had
14 happened in that case, basically there is a
15 document that they send out to the facility where
16 the person worked, and I think somebody in human
17 resources had filled it out and said she had done
18 nuclear medicine technology, check the box for X
19 number of hours per week, for X number of weeks
20 per year, and met the hourly requirement, and
21 that's how that person got a license. So I'll be
22 glad when that pathway closes off.

23 MS. CURRY: Did, did we license her?

24 MR. FUTCH: No.

25 MS. CURRY: We didn't license her, did we,

because -

1 MR. FUTCH: I can't remember. Yeah. I
2 don't think we did.

3 MS. CURRY: No, we didn't.

4 MR. FUTCH: Yeah, it's a little harder to
5 pull a license back once you give it to somebody.
6 So with regard to this CT category, their stated
7 purpose on the website is that the ARRT requires
8 a certain number of procedures in a certain
9 number of areas be performed and be signed off on
10 by someone who is certified in that area before
11 they can sit for the exam. And, originally, they
12 didn't have any sort of minimum didactic
13 requirements for training at all. And I think
14 next year or 2016 they're requiring 16 hours.
15 This is ARRT. NMTCB position physicians seem to
16 be they're gaining a certain number of hours of
17 training, not a certain number of types of
18 procedures that must be performed.

19 And the best I can tell you we're doing
20 this because it's hard for nuclear medicine
21 technologists to actually perform the procedures
22 if they're not already licensed to do so and
23 they're not doing it underneath the scope of a
24 student exemption like they would be if they had
25

1 to in Florida. So by having a certain number of
2 hours of training, I guess they feel like they're
3 helping the nuclear medicine tech out, but again
4 I don't know. We're not really sure how that's
5 going to flush out.

6 Did anybody have any knowledge of this
7 before

8 they heard me say it? Well, because I talked to
9 you about it.

10 MS. FORREST: Yeah. I do, too, only because
11 we had a technologist that was in nuclear
12 medicine and she sat for the PET portion of the
13 exam and then also got her clinical and got her
14 ARRT CT license three years, so you've got her
15 licensed for ARRT -

16 MR. FUTCH: Yeah, but that's the ARRT -

17 MS. DYCUS: And I think that we have a
18 second tech who is probably going to want to do
19 not the ARRT, but this. I think that I would
20 have to have a little bit more and to see what
21 they were requiring because I'll tell you what
22 we've run into even through the ARRT is
23 technologists not recognizing enough pathology
24 and enough to be able to say this, the
25 radiologist needs to look at before I let this

1 patient go and this kind of thing and doing a
2 regular CT.

3 MR. FUTCH: One of the big issues for me is
4 going to be our statute, when we talk about like
5 we've adopted these scopes of practice. If NMTCB
6 doesn't publish a scope of practice for CT, I'm
7 not sure where they're going to get one from
8 other than the one that's already out there from
9 ASRT, which is one we already have, it's a scope
10 of practice that was adopted for the pathway
11 through ARRT, the ASRT's scope of practice for
12 CT. So it kind of boggles my mind to have a
13 completely different test from an organization
14 that I'm pretty sure is probably not going to
15 write a scope of practice itself for CT and then
16 rely upon the scope from the other organization
17 who's got the test that it was built for. It's
18 mind boggling. I don't - I'm not yet ready to
19 say yes, let's do this.

20 DR. JANOWITZ: I suspect the SNMMI will come
21 up with a scope of practice.

22 MR. FUTCH: That would be the normal thing.

23 DR. JANOWITZ: But then again many of them
24 don't do CT, either.

25 MR. FUTCH: Yeah, see, you're coming up with

1 this scope of practice and doing something that's
2 really not what you do in your - I mean, full
3 diagnostic free standing CT -

4 MS. DYCUS: They're also building in an
5 exclusion that you don't need to do any - you
6 don't need to show us that you can do anything
7 other than the PET portion, I mean, the PET CT.

8 MR. FUTCH: They have to clarify it further,
9 but instead of 700 hours like it is for the PET,
10 it's going to be, I think, 500 hours of training
11 or on-the-job actual work in CT.

12 DR. JANOWITZ: We did have one nuclear tech
13 who took the ACR CT, not the ACR -

14 MR. FUTCH: ARRT.

15 DR. JANOWITZ: Right, and he passed that
16 but he tried to get hired to do CT and no one
17 would hire him.

18 MR. FUTCH: To do radiography and didn't
19 have CT in the center and that didn't work out.
20 But I wanted to make you aware of it and
21 certainly if you hear this discussed, if you're
22 part of this, if you know more than we do and
23 find out feel free, give me a call. I'd like to
24 not be surprised by the second half of the
25 process.

1 DR. JANOWITZ: I'll try and find out next
2 month.

3 MR. FUTCH: Yeah, it just feels too squishy,
4 like it's really not quite fully come together
5 even though there's a deadline and there's dates
6 for the tests and applications and the rest of
7 it. Okay. So that was, let's see, this guy.

8 I'd like to give you a little bit of
9 an update on the PET CT issue. We have in 2004
10 the scope of practice, as you know, for a
11 radiographer said that nuclear medicine
12 procedures were prohibited. In 2004, the nuclear
13 medicine technologists' procedure was written -
14 scope of practice, excuse me - was written in
15 such a way so that it limited them only to
16 dealing with administering radio isotopes and
17 making measurements of radioactivity and nothing
18 to do with x-ray. From '98 to some national
19 meetings that we had with ASRT and Society for
20 Nuclear Medicine, a consensus developed that to
21 do PET CT, you know, you could basically start
22 out as a radiographer or a nuclear med tech or a
23 therapist and then learn the other parts of the
24 modality, and then if you were appropriately
25 trained and you meet competency testing

1 requirements, anybody could come from any
2 pathway.

3 But in 2004 our statute was modified so
4 that only the nuclear medicine technologist scope
5 changed, and it changed to the way it is written
6 today which basically says they can do a combined
7 nuclear medicine CT procedure if they use the CT
8 for certain limited purposes associated with that
9 nuclear medicine procedure. And the scope of
10 practice for the radiographer didn't change. It
11 still said prohibited from doing nuclear medicine
12 procedures.

13 Well, fast forward a number of years and
14 it's, and it's - we've been involved in some
15 questioning about whether or not - this is the
16 core issue - whether or not PET CT is a nuclear
17 medicine procedure. Now, for me that's a no
18 brainer. It involves the injection of a radio
19 isotope and it involves measurements made on
20 nuclear medicine equipment to measure the uptake
21 and various metabolic processes throughout the
22 body. It uses x-ray in a different way from the
23 way x-ray would be used in a full diagnostic CT.
24 Specifically, for generating attenuation
25 coefficients in the machine in place of the line

1 source that would normally be in the PET camera
2 to do that. And therefore, there really isn't
3 any question in my mind. But I'd like to get
4 some discussion from you. Does anybody feel
5 differently about that? I mean, if I walked up
6 to anybody cold who works in, you know, obviously
7 works in the area, is - if you say a nuclear
8 medicine procedure, that's PET CT, is there much
9 dispute about that point?

10 DR. WILLIAMS: We spoke earlier. I agree.

11 MR. FUTCH: Okay. As I often do, sometimes

12 I

13 call you guys ahead of time and ask you some of
14 this stuff. Well, we ran into a facility that
15 for many, many years who was using a radiographer
16 to do everything in a PET CT procedure following
17 the administration of the radio isotope, and they
18 would pick up the person from - after the uptake
19 period was over, and they would bring them into
20 the - they would put them on the table, they
21 would position them for both procedures, they
22 would make the settings on the machine and put in
23 the administration of the relevant parameters on
24 the PET machine and when was the dose given, what
25 were the dosage, pick the protocol for the person

1 both for the CT portion and the PET portion, run
2 - be responsible for the patient while they were
3 running through the scout CT, and then the non-
4 diagnostic CT, and then for the longer time
5 period it takes to do the acquisition of the
6 positron data, put the images together, fuse
7 them, and deliver them to the oncologist. And
8 they did this for apparently many years and
9 they're in something of hot water because of it,
10 not necessarily with us but with Medicare
11 billings and things like this.

12 So I actually got asked to - my opinion,
13 and
14 of course, I've been dealing with this for a long
15 time so I freely gave it and found myself
16 subpoenaed for a court trial. And it was - you
17 know, I can say I testified in federal court now.
18 But - and it really never came down to the
19 question I thought that it would have come up to
20 which was, well, the radiographer might be able
21 to do a CT portion, but that was really never the
22 issue. It was they did everything else. Of
23 course, the lawyers for the defense act like this
24 is some big mystery that PET CT is a nuclear
25 medicine procedure. But I wanted to kind of put

1 that out there and see, you know, if I'm in the
2 right place with this.

3 DR. WILLIAMS: Maybe I missed it and I
4 apologize. Who injected the isotope?

5 MR. FUTCH: The nuclear medicine tech did.

6 DR. WILLIAMS: Where were they? They went
7 somewhere else?

8 MR. FUTCH: They became the whistle blower.

9 DR. WILLIAMS: For the procedure.

10 MR. FUTCH: For the procedure, yeah, they
11 went on to other patients. Yeah, I was trying to
12 remember. I don't know how many people they were
13 doing, but they had multiple radiographers. They
14 really only had one system as far as I could
15 tell, and I mean, from the testimony that was
16 developed prior to my being involved in this. So
17 the nuclear medicine tech would start out in the
18 morning receiving the isotopes, would do the QA
19 on the system, would pull up the dose, do the
20 dose calibration, inject the patient, and then
21 sometime after that point hand off to the
22 radiographer who would carry through with
23 everything else.

24 So that, that's where the whole thing ended
25 up was, yeah, there's no question that's what

1 they were doing. Now, for me, there kind of
2 seems to be some leftover thinking that, well,
3 the only thing that really makes a nuclear
4 medicine technologist is that they inject the
5 isotope, and anybody can run this machine. And
6 it's just silly, especially having sat with some
7 folks.

8 MR. TINEO: But the nuclear med tech did
9 the QA first thing in the morning?

10 MR. FUTCH: Right, right.

11 MR. TINEO: So that answers the question of
12 who should be running the machine.

13 MR. FUTCH: Having sat in a non-nuclear med
14 tech in training by any means, but having sat
15 with several now watching them doing this, you
16 know, if the radiographer is responsible for the
17 patient that means they're responsible for
18 something they weren't necessarily trained for.
19 You're used to thinking of a machine emitting
20 radiation when they turn it on and when they turn
21 it off, not a person walking around being the
22 source, having to think about exposure of
23 themselves and other patients and, you know, if
24 the patient as apparently happened in some
25 facilities, has an accident on the table you have

1 a contamination issue, you have to deal with
2 that. You have certain things you're looking
3 for, I guess, in the - in imaging.

4 One of the technologists gave me an example
5 of someone punching in the patient's weight
6 incorrectly, which would then result in specific
7 uptake values which were seriously skewed. And
8 then rather than realizing that that was the
9 problem looked at the picture and saw that
10 everything was just awfully light, you know,
11 nothing was being taken up very much, started
12 playing with the intensity and cranked the
13 intensity up so that it looked like the normal
14 image and just totally blew everything apart for
15 the oncologist trying to figure out what that
16 image meant. Didn't communicate with him at all.

17 So for all these reasons, it's clear, you
18 know, I think it's far more involved. And if you
19 go look at NMTCB or ARRT's exam, they're
20 requiring, you know, a whole section on operation
21 of the nuclear medicine camera as well as
22 contamination control, operating a survey meter,
23 things of that nature. But what I would like to
24 do is if everybody feels strongly enough, I'd
25 like to ask for maybe a motion that says PET CT

1 is a nuclear medicine procedure and get a vote on
2 that.

3 So we have a motion from somebody? Who's
4 first? Dr. Williams or Dr. Janowitz? So, Dr.
5 Williams made the motion, Dr. Janowitz seconded
6 it. So basically the motion is the statement
7 from Council is 'the PET CT is a nuclear
8 medicine procedure', And if you would like to
9 call for a vote?

10 DR. SCHENKMAN: All in favor, say aye?¹

11 COUNCIL: Aye. (unanimous)

12 DR. SCHENKMAN: Any opposed?

13 MR. FUTCH: Okay, good. Oh, I feel so much
14 better now.

15 The last part of the day is I have a couple
16 of, I guess we'd call them scope of practice
17 questions. I'm going to pull it up here for a
18 second. Okay. So this is what I got. You're
19 going to like this. Can everybody read this?
20 Make it a little bit bigger. Sorry. I'll let
21 you guys read that first and then I'll -

22 Has everybody gotten at least down to the
23 bottom? So this is apparently from the American

24 ¹ Council voted unanimously that PET CT is a nuclear medicine
25 procedure.

1 Speech Language Hearing Association. This little
2 blurb down here, I think it's a little clearer if
3 you read theirs. Okay. So the first question I
4 got is, does anyone know how to do this? I mean,
5 work with speech language pathologists? Okay.
6 So anybody who's doing this, tell me how you're
7 doing it in your facilities?

8 COUNCIL MEMBER: Each person the radiologist
9 - with the pathologists and the technologists.

10 MR. FUTCH: All right. So this is a fluoro
11 procedure that involves taking down barium and
12 watching it through the body.

13 MR. SEDDON: And I do want to say that my
14 radiology group has strongly pushed this position
15 against my best judgment - they actually may even
16 be the ones who wrote this, the whole thing. It
17 is - I'm familiar with the entirety of that last
18 statement because they've given me everything and
19 the key that I always bring up to them is the
20 last sentence. "State legal and rigorous..." -
21 the present radiologist - of the physician as
22 well as the reimbursement. That's key. Make
23 sure that you are being the regulatory standards.
24 I know a lot of states are moving towards
25 credentialing requirements or a state permit for

1 physicians who perform fluoroscopy. So we're
2 kind of moving in that direction overall. Now,
3 the direction that some of the radiologist have
4 indicated is that they're really not doing a
5 whole lot during the case, and that's at least
6 their interpretation of that.

7 They're really following the lead of the
8 speech pathologist, so they're the ones who are
9 really doing - telling what to do. They're just
10 there to just sort of oversee and interpret if
11 needed.

12 MR. FUTCH: I want to give you the little
13 bit
14 from the law after this, but I want to hear from
15 Pat.

16 MS. DYCUS: That was one of the things that
17 radiologist assistants were going to be able to
18 help radiologists out with is to be the one
19 present to assist the radiologist; but again with
20 the radiologist being there in the facility. I
21 remember it seems like years ago there was a
22 problem with mobile companies going out and doing
23 these swallows at health care facilities, and
24 there was some big thing with the third party
25 payers not paying for it if a rad wasn't present

1 because they didn't want that kind of situation
2 going on. I don't remember the specifics of
3 that. I just remember it being an issue.

4 MR. FUTCH: Anybody else, personal
5 experience

6 doing this at facilities? Okay. So for me, this
7 is - you know, I'm thinking of this from the
8 statute and regulation perspective and there's a
9 couple of things here. First of all, in our
10 licensing statute, the licensed practitioner they
11 were talking about does not include the speech
12 language pathologist. If they did, this wouldn't
13 be a problem. But under the definition we've got
14 it only includes people who are practicing
15 allopathic medicine and osteopathic medicine and
16 chiropractic medicine and podiatric medicine.
17 And to the best of my knowledge - y'all correct
18 me if I'm - as far as I know, that's not the
19 speech language pathologist, although I guess
20 they do have a fairly, you know, detailed
21 educational pathway.

22 So we don't have - its fluoro. We don't
23 have

24 a radiologist there. You know, we get very hinky
25 about doing fluoroscopy with just the rad tech

1 there if there's not a physician to look at and
2 view the procedure, and then to actually have -
3 okay, so there's your questions. The first one
4 is no and the second one, I think we've usually
5 answered no, but then we have this and Yvette's
6 law. This is the x-ray machine regulations, non-
7 physician operated - well, we - that's another
8 point about the speech language pathologist.
9 They're actually getting it from both licensure
10 statutes for the techs and also for the facility
11 from this statement in the regulation that points
12 back to the rad. It basically says, you've got
13 to be a tech or somehow certified if you're going
14 to operate the device itself. That's just the
15 first question.

16 So further down here's what we have,
17 ``Individuals should not be exposed to the use of
18 beams except for healing art purposes and unless
19 such exposure has been authorized by a licensed
20 practitioner.'' Now, I'm assuming in this case
21 even though the radiologist is not there, there
22 is a doctor somewhere that's authorized this
23 procedure to be performed because the speech
24 language pathologist cannot do it, as far as I
25 can tell.

1 COUNCIL MEMBER: There should have been an
2 order for that -

3 MR. FUTCH: Exactly, okay. So basically
4 this

5 just speaks to who can order it in abeyance, and
6 some other stuff that's not relevant to this
7 thing.

8 Here's the last little criteria.

9 "A person shall not perform fluoro or
10 otherwise expose a human to x-rays unless they
11 meet the following..." - okay, there's the first
12 one again, licensed practitioner. That term is
13 defined in the licensure statute for the techs.
14 A board radiologist assistant so this would be
15 Patty or someone like Patty doing it. Or a
16 general radiographer. And the general
17 radiographer, when they do it they have these
18 three conditions that have to be met and they
19 have to be trained and authorized in writing by
20 the licensed practitioner to perform this
21 specified imaging. Imaging doesn't - this is the
22 key to me - imaging doesn't rely upon the
23 radiographer to provide any diagnostic
24 interpretation to determine suspicious areas or
25 otherwise modify the scope of imaging, and it's

1 designed to prevent or reduce exposure to
2 patients by pursuing proper positioning for the
3 authorized radiographic imaging.

4 I don't know - you know, not being a tech
5 and the rest of it, I'm not really sure how this
6 applies out in the real world. What do you all
7 think?

8 MR. TINEO: Well, the part that you don't
9 see there is the billing part. If they're
10 billing for a barium swallow, they have to meet
11 those criteria, also, or who was the supervising
12 physician and who was able to bill for it. I
13 don't think a speech pathologist is - I have to
14 check, I have to go back and check, but I'm
15 pretty sure that they have to have the
16 interpretation by the radiologist to do this.

17 MR SEDDON: An in-depth interpretation.

18 MS. DROTAR: And I think once you go by
19 interpretation then I think you'd be capable of
20 billing if you met these -

21 MR. FUTCH: So how do we, how do we get past
22 - how do we get past B, call the doctor, there?

23 MS. FORREST: I don't see how you can
24 because the physician - if the radiologist is in
25 the room doing an interpretation, doing the study

1 and he can monitor the study and if he has a
2 suggestion, I mean, normally this can be an
3 addition to an upper GI series, which can cause
4 issues of why they would even be doing the study
5 to begin with. And without the radiologist in the
6 room, I just don't see how any - you're going to
7 call the patient back if you send him the disk
8 and he's like, yeah, that needs to be addressed.
9 I don't see how this could - the fluoro imaging
10 or any of those things can be done without the
11 radiologist in the room.

12 MS. DYCUS: But this is a modified swallow
13 and generally all the ones I've ever done, the
14 radiologist doesn't ask for anything different
15 than what the speech pathologist wants.

16 MR. FUTCH: So you're saying, you're saying
17 you can tell the radiographer do this procedure
18 according to some sort of, you know, pre-arranged
19 this is how we do it at our facility and these
20 are the things we ask for, which would meet the
21 requirement for A, I guess, and not rely upon the
22 radiographer to basically fill in the role of the
23 doctor looking at where they want to take a shot
24 next in deciding that?

25 MS. DYCUS: Correct. I think with a little

1 additional training a fluoro tech or an RT with a
2 little additional knowledge as a spokesperson for
3 the radiologist could perform the same study that
4 I've seen the radiologist perform for the speech
5 pathologist.

6 DR. SCHENKMAN: If you're not getting the
7 interpretation, how do you bill it?

8 MS. DYCUS: Well, we do get an
9 interpretation. A radiologist interprets the
10 images once they're sent over. Basically, the
11 radiologist assistant or the RT with the
12 additional training follows the pathologist - the
13 speech pathologist's lead as far as the speech
14 pathologist chooses to use thin barium or thick
15 barium and if the patient doesn't tolerate the
16 thin or the thick she doesn't go to thin. I
17 mean, they make all those decisions even when the
18 radiologist is there.

19 MR. SEDDON: Yes, that's the argument
20 that some of my radiologists have made is -
21 obviously you have a selection criteria on
22 certain types of patients which you could have a
23 - say you performed without the radiologist
24 present based upon the severity of their
25 condition, but you know, since the speech

1 pathologist is leading and directing the entire
2 procedure in their mind they're kind of - they're
3 there just to be there. They're not there -
4 they're not doing anything.

5 MR. FUTCH: See, that's why this is such a
6 formidable thing.

7 MS. DYCUS: The interpretation that the
8 radiologist is making is pretty much for the
9 letter of the law as far as being able to bill
10 for something, an interpretation, but the true
11 therapeutic or the true information is coming
12 from the report that the speech pathologist does
13 -

14 MR. FUTCH: What this feels like to me is
15 our
16 law says the licensed practitioner - well, we're
17 kind of extending what I - it's not directly - it
18 gives a lot of information about this particular
19 practice, but what it feels like is the law says
20 you need a person who meets this definition of
21 the licensed practitioner or you need a
22 technologist. You don't have the person who
23 meets the definition of a licensed practitioner
24 performing the normal functions for this kind of
25 a fluoro, and you're asking this other person

1 who's the speech language pathologist who knows
2 all sorts of things about their own area of
3 practice but is not a licensed practitioner to
4 kind of fill in for some aspects of the licensed
5 practitioner for the purposes of directing the
6 radiographer on how to do fluoro or to do the
7 fluoro.

8 MR. BURRESS: I just wonder if something
9 goes
10 wrong if the person can't swallow right in many,
11 many attempts if the fluoro time gets extended.
12 Can the general radiographer make the call the
13 patient's got too much dose, or is that the role
14 of the radiologist?

15 MS. DYCUS: I think any technologist should
16 make that call as well a radiologist, and in
17 California they are allowed to text their - I
18 mean, there's a special fluoro license because
19 they really limit in California. Radiant fluoro
20 is very limited.

21 MS. DROTAR: It seems to me that the
22 radiographer is the person that's responsible for
23 the radiation safety of the patient and machine
24 operation and that's the role that they're
25 fulfilling which is scope of practice. The

1 speech pathologist is then overseeing what the
2 patient's functionality is, which is theirs, and
3 then the radiologist with the images provided to
4 them after the fact is going to interpret and
5 give the, give the verdict.

6 MR. FUTCH: Yeah, that's essentially that
7 thing from the American Association was trying to
8 set up.

9 MR. SEDDON: A lot of the practitioners in
10 general, not just in this particular case but in
11 general, they don't feel they're actually
12 performing fluoroscopy, they kind of feel it's
13 the technologist who's really doing it 'cause
14 they don't really understand their equipment. I
15 hear a lot from surgeons and other people who
16 actually perform fluoroscopy.

17 MR. FUTCH: Does anybody have an issue with
18 this as described by the - this thing that we're
19 talking about up here originally?

20 DR. JANOWITZ: I don't do these and I'm not
21 sure how they're done at our place, but you know,
22 it seems to me that the technologist under the
23 supervision of the radiologist can perform the
24 fluoro. The radiologist should be available to
25 review any sort of issue over the PAC whether

1 he's in the room or not in the room. And I would
2 also argue that this is a medical diagnosis, you
3 know, whether or not the speech pathologist
4 thinks it is or not. But they are doing a
5 medical procedure to arrive at a diagnosis. So -

6 MR. FUTCH: In their proposed policy, it
7 goes

8 to the - they're trying to segment it and saying
9 that the radiologist assesses and comments on
10 this swallowing function only and does not
11 include
12 medical diagnoses, so I would have thought that
13 would come from the radiologist.

14 DR. JANOWITZ: Radiology is primarily a
15 (inaudible) but it does involve physiology as
16 well. So the fact that there's a physiologic
17 aspect to this doesn't mean that the radiologist
18 is not interpreting it or qualified to do it.

19 MR. FUTCH: As described here, does anyone
20 have an issue with any - do you feel like there's
21 any safety violations occurring here, or do you
22 think it's covered? I mean, it's one thing that
23 the law says on how to twist ourselves around
24 this and I'm not necessarily going to give an
25 opinion.

1 MS. BURRESS: Who's actually doing the
2 fluoro?

3 MR. FUTCH: The general radiographer.

4 DR. JANOWITZ: I guess an issue is is are
5 they able to do fluoroscopy at that site without
6 the presence of a radiologist, just a
7 technologist?

8 MR. FUTCH: It just seems backward. I mean,
9 it seems -

10 MS. FORREST: I think - I'm sorry, James.

11 MR. FUTCH: No, go ahead.

12 MS. FORREST: I hate to interrupt. I just
13 feel like, well, in California they have a
14 special fluoro, you know, licensing for it. So
15 then that's probably acceptable because they've
16 had additional fluoro training, but to administer
17 fluoro without the presence of a radiologist I
18 don't think as a general radiographer myself that
19 I should be allowed to do that.

20 DR. SCHENKMAN: Especially if we don't have
21 specific parameters of -

22 MS. FORREST: Of training.

23 DR. SCHENKMAN: Well, not just of training
24 but of fluoro time and all the rest related to
25 this study.

1 MS. FORREST: Because without that, any
2 general radiographer that's not in a room is
3 going, okay, you go in room seven and we've got a
4 barium in there.

5 DR. SCHENKMAN: You have to really dose a
6 patient.

7 MR. FUTCH: So your sense would be rely upon
8 this, rely upon this and basically say no?

9 MS. FORREST: Yes, sir.

10 DR. SCHENKMAN: I think it's asking a lot
11 from a general radiographer who doesn't have that
12 kind of knowledge and training.

13 MS. FORREST: It opens them up and the
14 patient.

15 MR. FUTCH: That was the answer you gave,
16 your facility, nobody would like it?

17 MR. SEDDON: Yeah, they wouldn't like it
18 because the radiologists - they, they were
19 pursuing this route understanding that under 'A'
20 they were training the RT's with additional
21 training specific to the procedure and then
22 there's no interpretation being performed after
23 the fact and there's a radiologist on site. We
24 never - you know, I just, you know, I said the
25 rule is the rule and that's our interpretation. I

think we even talked about it.

1 MR. FUTCH: Yeah, we did, we did. And who
2 knows if anybody tried to make a complaint and do
3 an investigation, I don't know where the lawyers
4 would come down on this one.

5 DR. ATHERTON: To me, it seems the speech
6 pathologist person is irrelevant if they're not
7 licensed. They're just looking -

8 MR. FUTCH: Well, the funny thing is -

9 DR. ATHERTON: So it should be just a normal
10 rad tech doing the fluoro procedure.

11 MR. FUTCH: Yeah, but the funny thing is
12 they're the ones who actually knows the most.

13 DR. ATHERTON: So either they need to be
14 licensed or the radiologist needs to -

15 MR. FUTCH: If the speech language
16 pathologist was in the definition of licensed
17 practitioner, this would be fine, you know.

18 DR. SCHENKMAN: Or if we had like California
19 special certification for -

20 MS. DROTAR: Specialty license.

21 MR. FUTCH: Right, right. So my
22 recommendation was to find a radiologist
23 assistant for this kind of a thing or, you know,
24 they could also - I didn't even want to say this,
25

1 but apparently they could find a PA probably,
2 too, who could - radiologist and do it. Don't
3 ask me what training they've got 'cause that's up
4 to the physician to decide. All right. Well,
5 listen, thank you for the discussion. I
6 appreciate it. We'll move on to the last one.
7 Are we doing okay on time?

8 DR. SCHENKMAN: Yes.

9 MR. FUTCH: Let me show you this one.
10 Probably opened this one twice. Oh, look, my
11 name's on this one. I'll show you this thing
12 that she's talking about in the second half. I
13 just want to read the paragraph first. It's a
14 non-X-ray system used to basically find the tip
15 of the catheter and put it in the right place.
16 And I'll let you read it. I like this statement
17 right here. Everybody in the room cringed. We
18 can't do that.

19 DR. SCHENKMAN: Why would they need a
20 radiology tech to do this if they're not using
21 any x-ray.

22 MR. FUTCH: Yeah, good question.

23 COUNCIL MEMBER: Did they even do it with an
24 x-ray?

25 MR. FUTCH: Let me, let me show you the, let

1 me show you the device. It's this one. I got a
2 couple of these about it. Okay. So basically
3 it's sensing the tip, I guess it's emitting some
4 sort of a current or it's got a magnet or
5 something and they're locating it that way,
6 apparently fairly accurately or else this would
7 probably not be a viable thing to do. And then
8 go over here. Sorry. So it says, "accuracy to
9 within 1 centimeter," I guess that's good enough.
10 "Displays the direction the catheter tip is
11 pointing." "Helps reduce risks associated with
12 blind catheter placement."

13 And let me show you one more thing. We've
14 got the - everybody finished with this? Okay.
15 There is - this is the operator's manual, excuse
16 me. Get to the pictures. So here's the
17 instructions, that's what the whole device looks
18 like. They make a big, they make a big deal
19 about it's compatible with ultrasound. I'm
20 sorry. Okay. Here you go. So I guess that's
21 how you'd use it.

22 MR. RICHARDSON: Jim, this is an FDA
23 approved device?

24 MR. FUTCH: That's what the lady who was
25 asking the question said it was.

1 DR. JANOWITZ: Why is this an issue? This
2 is
3 not a radiologic procedure.

4 MS. DYCUS: Right.

5 MR. TINEO: Because it's a PICC line and
6 they
7 think it needs to be done in radiology.

8 MR. FUTCH: I think they're trying to
9 maximize their use of personnel and they're doing
10 it in, I guess, radiology, and so it's kind of a
11 three-part question. Can you use the
12 radiographer to place PICC lines at all? Is
13 there some magical reason why we couldn't use
14 them outside of radiology if they can do it? And
15 then kind of flipping the question around the
16 other way, is there a reason why you couldn't use
17 the radiographer to do this on x-ray type things?

18 My usual answer for that is, you know, the
19 statute doesn't provide any specific prohibition
20 nor any specific language to cover such type
21 devices or if they had the appropriate additional
22 training and they were in compliance with the
23 facility's policies and did it properly, then
24 we're not going to discipline them for it, which
25 is a big way of saying, you know, it's up to you.

1 So, yeah, the last one's not an issue for me, but
2 who are doing PICCs in your facilities these
3 days? Is it -

4 MS. DROTAR: It's within the ASRT scope of
5 practice that a radiologic technologist can place
6 a PICC line.

7 MR. FUTCH: When the radiologist assistant
8 came along, this was one of the things they
9 included. - are you doing these PICC lines?

10 I'm sorry.

11 MS. DYCUS: I'm not doing them at my
12 facility now, but I did do them before, but -

13 MR. JANOWITZ: Radiographical.

14 MS. DYCUS: Right, radiographically, and I
15 just - who's their supervisor? Who's supervising
16 the RT?

17 MR. FUTCH: Well, it's going to be, you
18 know.

19 MS. DYCUS: If it's not - but I mean, if
20 the radiologist isn't involved in it, he doesn't
21 make a dictation on it because there's no images,
22 right?

23 MR. FUTCH: Yeah. Don't forget; it doesn't
24 have to be a radiologist. It could be any
25 Florida licensed physician.

1 MR. BAI: Isn't the training for this
2 the same for RRT as it would be for a nurse, it's
3 manufacturer based training.

4 MR. FUTCH: For question three?

5 MR. BAI: No, I mean, just to be able to
6 use this. I mean, if you're going to use the
7 system, you're going to have manufacturer
8 training to know how to use it.

9 MR. FUTCH: Well, if you're going to do
10 normal PICC placements, you're going to do it
11 under fluoroscopic guidance, right?

12 MS. FORREST: No, you don't have to have a
13 fluoro.

14 MR. BAI: Not necessarily. You use
15 ultrasound.

16 MR. FUTCH: Okay. But if you're going to
17 use x-ray it's going to be fluoro. I'm just
18 asking.

19 MS. FORREST: Yeah, and then once the PICC
20 is in place, you know, the general radiographer
21 will go in and we'll just do a regular x-ray to
22 check for placement later on down the line, you
23 know, for any number of reasons if we think maybe
24 the catheter, you know, is not in the place that
25 it needs to be without any migration.

1 MR. FUTCH: In terms of the placement of it,
2 let's just forget about this non-X-ray system, in
3 terms of the placement of the catheter, is this
4 something that a radiographer is going to be
5 asked to do to assist the physician or to do in
6 place of a physician?

7 MS. FORREST: Well, I'm reading that that
8 they want the - they want the x-ray tech to -

9 DR. SCHENKMAN: They want the technologist
10 to do -

11 MR. FUTCH: And you were saying in your
12 facility they are doing this?

13 MS. DROTAR: There are a couple of
14 facilities where they do that, yes.

15 COUNCIL MEMBER: Are they certified to do
16 that?

17 MR. FUTCH: But without the physician being
18 there?

19 SEVERAL VOICES: (Unintelligible.)

20 MR. TINEO: Available -

21 MR. FUTCH: Okay.

22 MR. TINEO: But, yes.

23 MS. FORREST: Is that letter addressing
24 specialist techs or is it general radiographer?

25 MR. FUTCH: That's in the context of

1 radiographers. They didn't mention any
2 particular one.

3 MS. FORREST: Oh, because I think that would
4 be-

5 DR. SCHENKMAN: The techs that are doing it
6 are specially trained.

7 MS. FORREST: They are not general
8 radiographers. I look at that to say can a
9 general radiographer be trained to go in and do
10 this? Is anyone else looking at it that way or
11 am I upside down?

12 MS. DYCUS: No, you're right.

13 MS. FORREST: So I still think a specialist
14 tech, that would be the appropriate person to do
15 it, but a general radiographer, I don't believe
16 so.

17 MR. FUTCH: So if the specialist tech is
18 doing it, who's running the x-ray?

19 DR. SCHENKMAN: I would think the physician.

20 MS. DROTAR: It's the radiographer all the
21 way.

22 MR. FUTCH: Yeah.

23 MR. TINEO: Most of them start with the
24 ultra
25 sound. Ultrasound accesses the vein and then they

1 move the catheter in and then later on then they
2 look for the physician. But that's what's
3 happening. What's happening also is nursing is
4 creating PICC teams, what it's called, and they
5 put in PICC lines throughout the hospital. So
6 what's happening is when they're knowing the
7 right space, then they come down to radiology -
8 to check for placement but that's just a back-up.
9 What we find is PICC lines being placed
10 incorrectly and then it's a mess and then you
11 have to come back and clean them up.

12 DR. SCHENKMAN: I think a few of us have to
13 go. Are you on that flight also?

14 MR. FUTCH: Okay, well - our former chair -
15 Perhaps our vice-chair can take over for the rest
16 of the meeting which is pretty much just kind of
17 a few wrap-up things.

18 DR. JANOWITZ: I don't think they should be
19 doing this.

20 MR. FUTCH: You don't think they should be
21 doing which part of it?

22 DR. JANOWITZ: I don't think they should be
23 doing it at all.

24 MR. FUTCH: Any one else want to venture
25 forth a strong opinion while we're on this?

1 MS. DROTAR: That's within the scope of
2 practice by our national standards that a
3 radiographer that is trained in doing it is
4 capable of doing it within the scope of practice.
5 It's also within the standard of practice because
6 there are several facilities in Florida that
7 actually allow that to happen or allow the -

8 DR. SCHENKMAN: But those are specialty
9 trained. That's the difference.

10 DR. JANOWITZ: Taking a x-ray and the
11 radiologist is confirming placement, isn't that
12 correct?

13 MS. DROTAR: I'm not sure on that end -
14 yeah, somehow the radiologist is -

15 MS. FORREST: The radiologist is doing the
16 confirmation.

17 SEVERAL VOICES: (Inaudible.)

18 DR. JANOWITZ: Without proper supervision,
19 you put the entire onus on the technologist. I
20 mean, suppose something goes wrong. Who are they
21 going to sue, the radiologist? I mean -

22 MR. TINEO: Everybody.

23 MS. FORREST: The whole facility.

24 DR. JANOWITZ: Yeah. I would not want my
25 tech inserting a line that I didn't know where it

1 was just because it was done - especially if it
2 was done on the floor.

3 MS. FORREST: I don't think it should be a
4 general radiographer. I think something - well,
5 obviously, she said it's a specialty. I think
6 that should be defined as a special procedures
7 tech. I don't think we should cross that line
8 where a general radiographer is allowed to do
9 that.

10 DR. WILLIAMS: Do we even have that option?
11 This is an FDA approved non-radiological
12 procedure. So somebody -FDA said appropriate in
13 certain indications.

14 MR. FUTCH: For the questions three, I'm not
15 terribly concerned, it's not using x-ray But for
16 the first question which I think you guys are all
17 speaking to is one - all right. Well, thank you
18 so much.

19 DR. SCHENKMAN: Thank you all. We're sorry
20 we have to leave a little earlier.

21 MR. FUTCH: That's all right.

22 DR. SCHENKMAN: Have a good day, everybody.

23 MR. FUTCH: All right. Well, that's it for
24 my input.

25 MR. SEDDON: All right. Let's move on to

1 the last item of the day which will be old
2 business. Any issues anyone has?

3 MR. FUTCH: I basically - I didn't really
4 ask for a motion or anything on this one, but if
5 you just want discussion, but it sounds like
6 there's some consensus involving the radiographer
7 with additional training, although quite frankly
8 there's none out there that we require.

9 MR. SEDDON: I think it goes back to the
10 previous, the previous issue in regards to the
11 speech pathologist in the statute where it says,
12 A, with additional training by the radiologist or
13 the technologist. It's kind of the same - that's
14 where it falls. That's how we're interpreting
15 it.

16 MR. TINEO: But this is not an x-ray.

17 MR. FUTCH: There are at least two different
18 issues in here. One is just the picc and the
19 other one is this - in the normal method with x-
20 ray. And the other question with this non-x-ray
21 system. For me, the non-x-ray system is, you
22 know, if you want to use the radiographer, okay,
23 make sure they're appropriately trained. But
24 it's not a licensure issue for me because it's
25 the x-ray. So all of the comments you guys were

1 making before, I was taking them with regard to
2 the first, the normal picc procedure.

3 MR. SEDDON: The first issue, not the third.

4 MR. FUTCH: And Dr. Janowitz seems to feel
5 very strongly the other way, so.

6 MS. FORREST: I'll sit next to him next
7 time.

8 MR. FUTCH: Does anyone else have an opinion
9 they want to have recorded because we use this
10 stuff later on in trying to answer other
11 questions when they come in. Anybody else's
12 thoughts? opinions?

13 MR. RICHARDSON: James, as an educator, I've
14 always questioned letter A there. I would like
15 to
16 see objectives written. I would like to see it
17 be very specific - what kind of training they've
18 had, how is it going to be documented. It's a
19 little scary how far legally we can go, according
20 to what Kathy says, as far as our scope of
21 practice. So basically it says we can do
22 anything that we're trained to do. And I think
23 that needs to be more specific.

24 DR. WILLIAMS: And I'm sorry I have to keep
25 this going, but I have another question. Can we

1 control the scope of practice of a radiographer
2 for non-radiographic procedures?

3 MR. FUTCH: I think so. I don't know if
4 we'd
5 want to, but -

6 DR. WILLIAMS: But can we because that's the
7 whole question here to my mind because this is
8 not
9 a radiographic procedure. We're the advisory
10 board for radiation protection and so I have a
11 standing question, you know, as to whether we
12 have the authority to even tell them what they
13 can do. If we can tell a radiographer what they
14 can and can't do radiographically and non-
15 radiographically, then we do have standing. If
16 we can't tell them what they can do non-
17 radiographically, then we don't have a dog in the
18 fight, anyway, I think.

19 MR. FUTCH: Yeah. Here's the - here's where
20 your - this is the Advisory Council authorizing
21 section and this is the job of the council, and I
22 think number three would probably cover what
23 we're just talking about - making recommendations
24 on matters relating to the practice, the
25 performance of the duties, and radiation

protection.

1
2 DR. WILLIAMS: Isn't there a presumption
3 here
4 that that's for radiography?

5 MR. FUTCH: Well, you know, it's an
6 interesting point that you raise because once you
7 move beyond the x-ray what do you need - why are
8 you even talking about a radiographer? You could
9 pick the janitor up and do the same thing.
10 That's one of the reasons that I usually answer
11 this question the way I do, which is to say it's
12 not provided for nor is it prohibited, it's other
13 than what the statute talks about. But, you
14 know, trust me, if they start doing - if they
15 start doing phlebotomy and kill a patient some
16 place, they're going to come back to us for
17 discipline against the person.

18 MR. SEDDON: I think also we do make
19 recommendations regarding MRI and that's just one
20 of the most recent ones, you just said those
21 earlier. So I guess there is non-radiation that
22 we are affecting.

23 MR. FUTCH: Yeah, there is, there is.

24 DR. WILLIAMS: There's also a radiologic
25 implication of that. Updated devices does not

require a radiologist to interpret.

1 MR. FUTCH: Okay. Again, I appreciate
2 hearing all the different viewpoints 'cause it's
3 useful to us when these kinds of things come in.
4 But that's the last one I have, so -

5 MR. KENNEDY: James, as an old bureaucrat,
6 I would say that that language pretty clearly
7 does provide cover to comment on the practice of
8 the radiologist assistant and radiological
9 technology outside of strictly those modalities
10 involving radiation. As an advisory council on
11 radiation protection, I think that comments on
12 what is safe for them to do, where that line is,
13 and how far over that line that training allows
14 them to go. So as a bit of a neophyte and fresh
15 eyes on that, I think that's pretty clearly would
16 allow -

17 Now, the farther you go away from that, I
18 think that the weaker you get in terms of if
19 there are things that they were doing that have
20 nothing
21 to do with the general practice of radiology, but
22 I think as long as you stay within those things
23 that are close to the other side of the line,
24 that helps elucidate and shed light on where that
25

line is and how far it goes.

1 MR. FUTCH: Okay. I left off the last one,
2 but I always forget about number four - ``make
3 recommendations to the to the Department
4 (inaudible) - conduct such procedures''. That's
5 fairly broad.

6 MR. KENNEDY: Has that been changed many
7 times?

8 MR. FUTCH: That's an old statute.

9 MR. KENNEDY: That's ancient history.

10 MR. FUTCH: Yeah, that's - let's see.

11 MR. KENNEDY: In '84?

12 MR. FUTCH: I'm looking for it, '95, '91,
13 '84 - yeah, '84. Okay. Any other thoughts on
14 this one before we move on to the other business?

15 MR. SEDDON: Is there any other business?

16 MR. FUTCH: Now's the time to bring forth
17 anything that's really been bugging you since May
18 of last year. Nothing?

19 MR. SEDDON: Well, I guess we'll look at
20 information for our next meeting.

21 MR. FUTCH: Dates, dates. October?

22 MS. ANDREWS: Are we still looking for
23 October? I didn't include calendars in your
24 packets this time, but I did print them out.
25

1 I've chosen September, October and November. We
2 usually have it around October, but in October
3 the only thing we have in there is the 13th.
4 Everything else seems open. We have the 7th, it's
5 a Tuesday, or we can do it on a Thursday if you
6 wanted to. There's the 14th, Tuesday, the 14th,
7 21st, and the 28th are the Tuesdays in October.

8 MR. FUTCH: So does anybody feel strongly
9 about moving from October? Or is October good?

10 DR. WILLIAMS: October's good but I'll be
11 out of the country through the 12th.

12 MR. FUTCH: So the 14th would be the first
13 date in October you'd be available?

14 DR. WILLIAMS: Right, but that's like the
15 second day I get back.

16 MS. DYCUS: Oh, that'll be fine. Just
17 throwing it out there.

18 MS. ANDREWS: So the next one would be the
19 21st.

20 MS. DYCUS: Would that give you a breather?

21 DR. WILLIAMS: That would be better for me,
22 but I'm not running the whole show.

23 MR. RICHARDSON: I'll be out of the country
24 until November 3rd.

25 MS. ANDREWS: From what date to what date?

1 MR. RICHARDSON: I leave on the 10th of
2 October.

3 MR. FUTCH: Anybody else want to pick it
4 from
5 then?

6 MS. DROTAR: I would like to be out of the
7 country.

8 MS. ANDREWS: Can we go with you? Okay. So
9 who can make it on the 21st at this point?

10 MR. KENNEDY: How about Thursday the 16th?

11 MS. ANDREWS: The 16th, would that be better
12 for everyone else?

13 SEVERAL VOICES: (Inaudible.)

14 MS. ANDREWS: Raise your hands up high for
15 the 16th. That looks good on this side.

16 So most people can make it on the 16th. I
17 know you're out of the country. Can you make it
18 the 16th? You're questionable?

19 DR. WILLIAMS: Yeah.

20 MS. ANDREWS: Okay, we're good. Okay.

21 MR. FUTCH: Dr. Williams, when you are
22 going?

23 DR. WILLIAMS: I leave on September the
24 30th and come back October the 11th.

25 MR. FUTCH: So if we did just look at

1 September, 22nd would be the Tuesday - the 23rd
2 would be a Tuesday, 26th would be the Thursday.
3 Does that solve your problem?

4 DR. WILLIAMS: Yes.

5 MR. FUTCH: And that solves your problem
6 and your problem, right?

7 DR. WILLIAMS: Gastro is that week.

8 MR. FUTCH: All right.

9 DR. WILLIAMS: Normally I don't do these
10 things because I screw them up. I mean, Carole
11 can tell you the schedule if you want to just ask
12 her. That might be the easiest thing.
13 It's not just me, either, it's all of us. If
14 somebody else is away then I couldn't come.

15 MR. FUTCH: Gotcha. I'll get back to your
16 office and throw some dates across in an e-mail,
17 right, Brenda?

18 MS. ANDREWS: Yeah. So right now the 16th
19 looks the best for everybody. Okay. So we can
20 work on that. Okay.

21 MS. DROTAR: The 14th through the 17th.

22 MR. FUTCH: September?

23 MR. KENNEDY: The end of September.

24 MS. ANDREWS: September?

25 MR. KENNEDY: Yeah, the last week of

September looks like -

1 MR. FUTCH: 23 or 25? Anybody have any
2 thoughts right now about conflicts on the 23rd of
3 September or the 25th?

4 Jerome, does that help you out any? Okay.

5 MR. GUIDRY: I wish I met more with this
6 group than I do, but I'm certainly not going to
7 impose my schedule on this group.

8 MS. ANDREWS: The 23rd. How does that look?

9 MS. DROTAR: Of September?

10 MS. DYCUS: Okay.

11 MS. ANDREWS: A show of hands? Okay. That
12 looks real good.

13 MR. FUTCH: We might work with that one.

14 MS. ANDREWS: Okay. So that's good, is that
15 like a first choice?

16 MR. FUTCH: What is that printed on - the
17 calendar?

18 MR. RICHARDSON: James, we found out at
19 lunch
20 that Jerome is quite a musician and I would move
21 that we have entertainment it during the next
22 meeting.

23 MR. FUTCH: What - which particular -

24 MR. GUIDRY: You aren't supposed to say
25

that.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

MR. FUTCH: Since we're on the record, which instrument is that? Do you want to tell us?

MS. DYCUS: Guitar.

MR. GUIDRY: Do I have to - I've been playing guitar and singing for about 45 years.

MR. FUTCH: Well, you'll have to open the meeting -

MR. KENNEDY: That's about how long it takes.

MR. GUIDRY: I owe you one.

MS. ANDREWS: So do we want to look at the 26th then because we've got the 23rd in September, which most people could make that, and October 16th. Are we even still interested in October?

MR. FUTCH: I think you've got everybody for the 23rd.

COUNCIL MEMBER: No, I can't make it.

MR. FUTCH: Oh, you can't. Sorry.

MR. TINEO: I will be at a chiropractic conference that week.

MS. ANDREWS: The whole week?

MR. TINEO: Just the weekend.

MS. ANDREWS: The 19th -

MR. TINEO: The 24th through the 27th.

MS. ANDREWS: So you can come to the Council meeting on the 23rd and then just keep going.

You have a whole week before that. So that won't work for you?

MR. TINEO: Probably not but that's okay.

MS. ANDREWS: Okay. So we've got the majority of the people that will be here then the 23rd.

So do we want to settle on that date? Decision made. Okay, 23rd September is the date for the next meeting.

MR. FUTCH: Okay. Thank you very much.

* * * * *

(Whereupon, the meeting was adjourned at 3:00 p.m.)

C E R T I F I C A T E

THE STATE OF FLORIDA,)

COUNTY OF WAKULLA,)

I, Suzette A. Bragg, Court Reporter and Notary Public, State of Florida at Large,

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

137

DO HEREBY CERTIFY that the above-entitled
and numbered cause was heard as herein above set out;
that I was authorized to and did transcribe the
proceedings of said matter, and that the foregoing and
annexed pages, numbered 1 through 131, inclusive,
comprise a true and correct transcription of the
proceedings in said cause.

I FURTHER CERTIFY that I am not related to
or employed by any of the parties or their counsel,
nor have I any financial interest in the outcome of
this action.

IN WITNESS WHEREOF, I have hereunto
subscribed my name and affixed my seal, this ^{28th} day of
May, 2014.

SUZETTE A. BRAGG, Notary Public
State of Florida at Large
My Commission Expires: 2/21/2017